

UNITED STATES OF AMERICA
DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
FOOD AND DRUG ADMINISTRATION

+ + +

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + +

DIVISION OF CARDIOVASCULAR AND
RENAL DRUG PRODUCTS

+ + +

CARDIOVASCULAR AND RENAL DRUGS

ADVISORY COMMITTEE

+ + +

Tuesday, January 27, 1997

+ + +

The meeting was held in Natcher Auditorium, 45 Center Drive, National Institutes of Health, 9000 Rockville Pike, Bethesda, Maryland, at 8:30 a.m., Milton Packer, M.D., Chairperson, presiding.

PRESENT:

MILTON PACKER, M.D., Chairman

JOAN C. STANDAERT, Executive Secretary

ROBERT CALIFF, M.D., Member

PRESENT (Continued):

JOHN DiMARCO, M.D., Member

CINDY GRINES, M.D., Member

MARVIN KONSTAM, M.D., Member

JoANN LINDENFELD, M.D., Member

LEMUEL MOYE, M.D., Ph.D., Member

ILEANA PINA, M.D., Member

DAN RODEN, M.D.C.M., Member

UDHO THADANI, M.D., F.R.C.P., Member

BARRY MASSIE, M.D., FDA Temporary Voting
Member

CHRISTOPHER O'CONNOR, M.D., FDA Invited
Guest

LIONEL RABIN, M.D., FDA Invited Guest

LYNNE STEVENSON, M.D., FDA Invited Guest

RAYMOND LIPICKY, M.D., FDA Representative

JAMES HUNG, Ph.D., FDA Reviewer

WILLIS MADDREY, M.D., Sponsor
Representative

JOEL MORGANROTH, M.D., Wyeth-Ayerst

BETTY RIGGS, M.D., Wyeth-Ayerst

DR. MARK SILVER, Public Comment

ALSO PRESENT:

SHAW CHEN, M.D.

ROBERT FENICHEL, M.D.

DR. ABE FRIEDMAN

ALSO PRESENT (Continued):

CHARLES GANLEY, M.D.

URSULA HOPPE, M.D.

RON HORNE

PHIL MAYER

ROBERT MISBIN, M.D.

BRUCE SCHNEIDER

HYMAN ZIMMERMAN, M.D.

C-O-N-T-E-N-T-S

	<u>PAGE</u>
Conflict of Interest Statement	6
NDA No. 20-736, Tasosartan Capsules:	
Clinical Efficacy and Safety Overviews	9
Interpretation of Liver Function Test Abnormalities in Clinical Database	30
Clinical Data on Liver Function Test Changes with Tasosartan	82
Indicators of Clinical Significance of Liver Function Test Abnormalities	118
Concluding Remarks	146
Public Comment, Dr. Mark Silver	248
Committee Discussion of Intravenous Inotropic Therapy	249

P-R-O-C-E-E-D-I-N-G-S

(8:35 a.m.)

CHAIRPERSON PACKER: I'd like to call this meeting of the 83rd meeting of the Cardiac and Renal Drugs Advisory Committee to order.

We're in a different place for us. This is the Natcher Auditorium. I think we should petition the division to keep the meetings here. It seems like a nice place.

But what I'd like to do is as we have in the past ask for a just roll call of the Committee, and we have, I think, a full Committee with us today, and in addition, we have a voting expert, Dr. Barry Massie, and I'll ask Barry to begin the roll call or, Ray, do you want to do that? I guess you can begin.

DR. STEVENSON: Oh, yes. I'm present. Is that what you're asking?

CHAIRPERSON PACKER: Just name and institution.

DR. RODEN: That was Barry Massie from UCSF, and I'm Dan Roden from Vanderbilt.

DR. PINA: Ileana Pina, Temple, Philadelphia.

DR. THADANI: Udho Thadani, University of Oklahoma, Oklahoma City.

1 CHAIRPERSON PACKER: Milton Packer,
2 Columbia University.

3 DR. KONSTAM: Marv Konstam, Tufts
4 University.

5 DR. LINDENFELD: JoAnn Lindenfeld,
6 University of Colorado.

7 DR. MOYE: Lem Moye, University of Texas
8 in Houston.

9 DR. DiMARCO: John DiMarco, University of
10 Virginia.

11 DR. GRINES: Cindy Grines, Beaumont
12 Hospital.

13 CHAIRPERSON PACKER: And I'll ask Joan to
14 read the waivers and disclaimers for this morning's
15 meeting.

16 MS. STANDAERT: The following announcement
17 addresses the issue of conflict of interest with
18 regard to this meeting and is made a part of the
19 record to preclude even the appearance of such at this
20 meeting.

21 Based on the submitted agenda for the
22 meeting and all financial interests reported by the
23 Committee participants, it has been determined that
24 all interested firms regulated by the Center for Drug
25 Evaluation and Research present no potential for an

1 appearance of a conflict of interest at this meeting
2 with the following exceptions.

3 In accordance with 18 USC 208(b)(3), full
4 waivers have been granted to Drs. Milton Packer, JoAnn
5 Lindenfeld, Lemuel Moye, Marvin Konstam, and Barry
6 Massie.

7 In accordance with 18 USC 208(b)(3),
8 general applicability waivers have been granted to all
9 participants which allow them to participate in
10 today's discussions concerning the broad applicability
11 issues relevant to the general class of inotropic
12 agents.

13 Copies of these waiver statements may be
14 obtained from the agency's Freedom of Information
15 Office, Room 12A30, Parklawn Building.

16 We would like to disclose for the record
17 that Dr. Marvin Konstam and his employer, the New
18 England Medical Center, and Dr. Robert Califf and his
19 employer, the Duke Clinical Research Institute, have
20 interests which do not constitute a financial interest
21 within the meaning of 18 USC 208(a), but which could
22 create the appearance of a conflict.

23 The agency has determined notwithstanding
24 these involvements that the interests of the
25 government in Drs. Konstam's and Califf's

1 participation outweighs the concerns that the
2 integrity of the agency's programs and operations may
3 be questioned.

4 Therefore, Drs. Konstam and Califf may
5 participate in today's discussions of Verdia.

6 With respect to FDA's invited guest
7 expert, Dr. Christopher O'Connor has reported
8 interests which we believe should be made public to
9 allow the participants to objectively evaluate his
10 comments. Dr. O'Connor would like to disclose for the
11 record that he and his employer, the Duke University
12 Medical Center, has received grants from the National
13 Heart, Lung, and Blood Institute, the Veterans'
14 Administration, the National Institutes of Mental
15 Health, the Robert Wood Johnson Foundation, Sanofi-
16 Winthrop, Pfizer, Narvatis, DuPont-Merck, Astra-Merck,
17 Hoechst Marion Roussel, Merck, Wyeth-Ayerst,
18 Boehringer-Ingelheim, Bayer, Bristol Myers Squibb,
19 Parke Davis, Medtronics, Roche, SmithKline Beecham,
20 Searle, Burroughs Wellcome, and Cardiologic Systems.

21 Dr. O'Connor has also received speaking
22 fees from these firms and consulting fees from all of
23 these entities.

24 In the event that the discussions involve
25 any other products or firms not already on the agenda

1 for which an FDA participant has a financial interest,
2 the participants are aware of the need to exclude
3 themselves from such involvement, and their exclusion
4 will be noted for the record.

5 With respect to all other participants, we
6 ask in the interest of fairness that they address any
7 current or previous financial involvement with any
8 firm whose products they may wish to comment upon.

9 And that completes the conflict of
10 interest statement for the 27th of January.

11 CHAIRPERSON PACKER: Thank you very much.

12 And we will now ask if there is any public
13 comment.

14 (No response.)

15 CHAIRPERSON PACKER: There being no public
16 comment, we'll proceed to the first item on the
17 agenda, which is the evaluation of tasosartan for the
18 treatment of hypertension. The sponsor is Wyeth-
19 Ayerst, and please proceed with your presentation.

20 DR. RIGGS: Good morning, Dr. Packer, Dr.
21 Lipicky, members of the Advisory Committee, ladies and
22 gentlemen.

23 My name is Betty Riggs, and I represent
24 Wyeth-Ayerst Research. It's my pleasure today to
25 present the safety and efficacy data for tasosartan.

1 I was the medical monitor for this
2 program. I also participated in the NDA submission,
3 and as I understand it, the FDA has stipulated that
4 they agree that tasosartan is an efficacious
5 antihypertensive agent when given once daily.

6 The FDA has asked us to participate in
7 today's meeting because of a concern about an apparent
8 increased dropout rate due to LFT abnormalities
9 compared with other angiotensin II receptor
10 antagonists programs.

11 As a result of this, we have performed
12 extensive and thorough analyses of our preclinical and
13 clinical data. We've also consulted with two of the
14 world's foremost experts on drug induced liver
15 disease, Dr. Willis Maddrey and Dr. Hyman Zimmerman,
16 who are here with us today. I think you know that
17 both of these experts have consulted for the FDA in
18 the past when questions of drug induced hepatotoxicity
19 have been raised.

20 As we've reviewed our database and as
21 we've reviewed it in conjunction with our experts,
22 we've come to the conclusion that tasosartan is a safe
23 product. We have a number of reasons why we believe
24 there were some differences, including differences in
25 study design and sampling frequency compared with

1 other programs, and we will present data to try to
2 clarify some of these issues for you today.

3 Tasosartan, Verdia, is a new, long acting,
4 angiotensin II receptor blocking agent that has been
5 developed for the treatment of hypertension in a
6 worldwide clinical program that began in 1992. An NDA
7 was filed with the Food and Drug Administration in
8 December of 1996.

9 Due to time constraints, the FDA has
10 requested that the presentation be focused on the
11 questions before the Commission, which is the effect
12 of tasosartan on liver function tests. Therefore, the
13 agenda for the presentation is as follows.

14 I will begin with a brief review of the
15 efficacy and non-LFT safety data. Then Dr. Willis
16 Maddrey, a hepatology expert from the University of
17 Texas, will provide an overview of the interpretation
18 of LFT data.

19 I will then review the tasosartan LFT
20 data, and because of the special nature of most of
21 today's discussions, as I said, we are accompanied by
22 a second consultant on hepatic disease, Dr. Hyman
23 Zimmerman, who can help address any questions.

24 We are also joined by a cardiology
25 consultant, Dr. Joel Morganroth, who has reviewed our

1 database. Dr. Morganroth has spent the last few years
2 reviewing data from several drug development programs
3 for sponsors and for the FDA.

4 After Dr. Morganroth's comments, I will
5 then provide some concluding remarks.

6 Tasosartan has predictable
7 pharmacokinetics. It is well absorbed orally and has
8 absolute bioavailability of 60 percent. The Pk
9 profile is similar in fed and fasted patients.

10 The parent compound reaches peak plasma
11 concentrations within one to two hours after an oral
12 dose, and dose proportionality has been demonstrated
13 across a wide dose range, up to 300 milligrams daily.

14 The long duration of antihypertensive
15 activity is due to two metabolites that have half-
16 lives of 60 and 70 hours.

17 As previously mentioned, the efficacy of
18 tasosartan has not been questioned by the FDA. The
19 NDA included data from seven placebo controlled
20 studies and one active controlled study.

21 This single slide is representative of the
22 efficacy of tasosartan replicated in all of our
23 controlled studies. As shown in this graph of the
24 final on therapy, ambulatory blood pressure
25 measurement, the diastolic blood pressure was

1 controlled throughout the 24 hour dosing interval for
2 patients who were titrated from 25 to 100 milligrams
3 until efficacy was achieved or the highest dose was
4 reached.

5 The placebo corrected trough-to-peak ratio
6 was .82, indicating that antihypertensive efficacy is
7 achieved with once daily dosing. The circadian
8 pattern of blood pressure is also maintained with
9 tasosartan.

10 In addition to the studies submitted in
11 the original NDA, we have performed two post NDA
12 studies that have demonstrated the superior efficacy
13 of tasosartan compared to losartan. These studies
14 were designed to determine if tasosartan's long
15 duration of action confers a clinical benefit over an
16 approved angiotensin II antagonist, that is, to
17 determine if there are differences between our drug
18 and others in the same class. These studies are
19 important in defining the risk-to-benefit ratio of
20 tasosartan.

21 The designs of these studies were
22 discussed with the FDA prior to initiation, and we
23 appreciate the agency's considerable input into the
24 study designs.

25 We did follow the agency's advice about

1 using the maximum allowable dose of losartan in order
2 to be fair to the comparative agent.

3 It should be noted, however, that the FDA
4 has not had an opportunity to review data from these
5 studies in detail.

6 Protocol 328 was a randomized double
7 blind, placebo controlled study that compared the
8 effects of tasosartan and losartan on sitting and
9 ambulatory blood pressure, as well as on the systolic
10 blood pressure response to exercise. It was designed
11 to address potential differences in antihypertensive
12 efficacy at the end of a once daily dosing interval.

13 Two hundred and seventy-five patients were
14 randomized to 100 milligrams of tasosartan, placebo,
15 or losartan 100 milligrams daily for four weeks. In
16 this protocol, patients performed an exercise
17 treadmill test at baseline, shown here, and at the end
18 of the double blind period.

19 This graph shows the results for the
20 primary endpoint, that is, the change from baseline in
21 mean trough sitting diastolic blood pressure at four
22 weeks of double blind, shown here, and placebo is in
23 blue. Losartan is in the gold, and tasosartan is in
24 green.

25 The results after two weeks of therapy are

1 shown on the left. Both losartan and tasosartan were
2 statistically better than placebo at both time points.
3 Additionally, tasosartan was superior to losartan at
4 both time points.

5 As I said earlier, patients performed an
6 exercise stress test at the final week of double blind
7 therapy. This graph shows the results at rest, at
8 Stage 1, 2, and 3 of the Bruce protocol. Tasosartan
9 was superior to placebo at all stages. Losartan was
10 superior to placebo only at rest and at Stage 1.

11 At Stage 3, tasosartan provided control of
12 the systolic blood pressure that was statistically
13 significant compared with both placebo and losartan.

14 In summary, this study demonstrated that
15 tasosartan was superior to losartan in controlling the
16 trough sitting diastolic blood pressure, the mean 24
17 hour diastolic blood pressure, and the systolic blood
18 pressure response to strenuous exercise.

19 The second post NDA study was Protocol
20 330. The objective of this study was to determine if
21 the long acting nature of tasosartan confers a
22 potential clinical benefit for patients who
23 occasionally missed doses of antihypertensive
24 medication since noncompliance is a common problem
25 with antihypertensive therapy.

1 This was a randomized double blind,
2 placebo controlled comparison of the impact of missed
3 doses of tasosartan and losartan in patients with
4 hypertension. At the beginning of the double blind
5 period patients were randomized to one of the three
6 therapies, tasosartan or losartan or placebo. At that
7 time they were also randomized to one of two days of
8 dose interruption, either at Week 4 of double blind or
9 at Week 6.

10 The interrupted dosing sequences occurred
11 to simulate a period of noncompliance.

12 Shown in this graph are the ABPM data
13 obtained at the end of the two-day interrupted dosing
14 sequence. Blood pressure is reduced throughout the 24
15 hour assessment in patients who receive tasosartan.

16 In contrast, the ABPM data indicate that
17 losartan provides an effect that is no better than
18 placebo during this period of simulated noncompliance.

19 In summary, tasosartan provided superior
20 antihypertensive effects at all time points tested.
21 During the period of simulated noncompliance, the two
22 days of missed doses, losartan lost its
23 antihypertensive effects, while tasosartan
24 antihypertensive effects remained constant.

25 In conclusion, tasosartan has a favorable

1 Pk profile. It is rapidly and well absorbed, and
2 there are no food effects. There's a smooth onset and
3 offset of action. The Pk profile supports the fact
4 that this is truly a once a day drug.

5 The dosage recommendations are for an
6 initial dose of 50 milligrams once daily in most
7 patients titrated to 100 as needed, and we also
8 recommend a dose reduction for volume depleted
9 patients, renal and hepatic impaired patients.

10 In several adequate and well controlled
11 studies, tasosartan has shown consistent superiority
12 to placebo. A dose response was demonstrated up to
13 100 milligrams. When tasosartan is given with
14 diuretics, the antihypertensive effects are additive,
15 and in two controlled trials tasosartan was shown to
16 be superior to losartan for control of diastolic blood
17 pressure at trough and at every time point throughout
18 the 24 hour dosing interval.

19 Additionally, tasosartan was shown to
20 control the systolic blood pressure response during
21 exercise better than losartan. After two days of
22 simulated noncompliance, tasosartan afforded continued
23 antihypertensive protection, while losartan was no
24 better than placebo.

25 Thus, all angiotensin II antagonists do

1 not provide equivalent clinical effectiveness.

2 Now I would like to review the safety and
3 tolerability of tасosartan. It should be noted that
4 more patients are included in the safety database
5 because of the addition of patients from the European
6 dossier.

7 A total of 6,149 patients or subjects were
8 included in the safety database. Seven hundred and
9 nine patients are subjects enrolled in the clinical
10 pharmacology-pharmacokinetics studies. Of these, 639
11 were enrolled in the tасosartan group.

12 In the controlled and open label Phase 2
13 and 3 studies, 5,440 hypertensive patients were
14 enrolled. Of these, 4,132 patients received
15 tасosartan alone or in combination with
16 hydrochlorothiazide. The doses studied ranged from
17 ten to 600 milligrams per day.

18 Over 800 patients received the drug for at
19 least 12 months, and over 100 patients have received
20 the drug for at least 18 months. The doses studied in
21 the long term protocols ranged from 25 to 100
22 milligrams per day.

23 The demographic characteristics of
24 patients who participated in the Phase 1 through 3
25 studies are shown in this table. It is important to

1 note that more than 1,400 patients were age 65 or
2 older. While the majority of younger patients were
3 white, middle aged males, it should also be noted that
4 a significant percentage of patients were women,
5 especially in the older than 65 age group.

6 In contrast to other angiotensin II
7 antagonists development programs, non-white patients
8 were not excluded from the tasosartan efficacy and
9 safety studies. Consequently, ten percent of the
10 patients in the younger age group were black.

11 Treatment emergent study event data were
12 collected in all studies. These data were based on
13 patient's self-report and investigator observation.
14 This table shows the presumably drug related study
15 events that occurred in at least one percent of
16 patients.

17 The most commonly reported drug related
18 study events were headache, dizziness, and asthenia.
19 The incidence of headache and asthenia was higher in
20 the placebo group. In fact, the incidence of headache
21 was significantly lower in the tasosartan group.

22 Premature discontinuations for any reason
23 occurred in 12.3 percent of tasosartan treated
24 patients compared with 12.9 percent of placebo treated
25 patients. Discontinuations due to adverse events

1 occurred in 2.9 percent of both the tasesartan and
2 placebo treated patients. Discontinuations due to
3 other medical events occurred in 1.7 percent of
4 tasesartan treated patients and in 3.6 percent of
5 placebo treated patients. The incidence for other
6 comparators are also shown and were generally similar.

7 During the entire development program, 13
8 deaths were reported, four of which occurred two or
9 more weeks after study completion. None of the deaths
10 reported to the company was considered to be related
11 to tasesartan according to the investigator's
12 assessment. Most of the deaths were the result of
13 chronic diseases, for example, MI, stroke, and cancer.

14 There were no between group differences in
15 ECG or non-LFT laboratory parameters. At FDA's
16 request, creatine kinase data were collected in some
17 protocols. The incidence of CK elevations was similar
18 in patients treated with tasesartan and placebo.

19 The clinical safety profile observed with
20 tasesartan in our safety database demonstrated that
21 the incidence of drug related study events was similar
22 to placebo.

23 During a randomized placebo controlled
24 withdrawal segment of one trial, tasesartan was shown
25 to have no rebound effects. There were no apparent

1 dose related increases in study events with tasosartan
2 at daily doses of up to 600 milligrams, and the
3 discontinuation rate due to clinical adverse events
4 was the same as for placebo.

5 For the rest of the allotted presentation
6 time, we will focus on data and issues relating to
7 elevations of liver function tests. Before I present
8 the tasosartan LFT data, Dr. Willis Maddrey will
9 present a discussion of the interpretation of LFT data
10 from drug development databases.

11 CHAIRPERSON PACKER: Before going forward,
12 does anyone on the Committee have any questions about
13 any of the presentation up to this point?

14 DR. KONSTAM: Can I just ask one question?
15 In the losartan comparative study, was losartan given
16 QD or BID in that study?

17 DR. RIGGS: It was given QD.

18 DR. KONSTAM: And just remind us. The
19 differences that you saw are probably explainable on
20 the pharmacokinetic differences between losartan and
21 tasosartan and others. Losartan has a shorter half-
22 life, doesn't it?

23 DR. RIGGS: The parent has a shorter half-
24 life, as does its active metabolite, yes.

25 CHAIRPERSON PACKER: Barry.

1 DR. MASSIE: Yes. Could you just remind
2 us of how the drug is metabolized? Because you said
3 you recommend dose adjustments for people with both
4 renal and hepatic dysfunction. Is that based on known
5 pharmacokinetics of the drug in people with those
6 problems?

7 DR. RIGGS: Yes. A formal study was
8 performed in hepatic impaired patients, and based on
9 those PK findings, dosage recommendations were made.

10 DR. MASSIE: is there also renal excretion
11 of the drug?

12 DR. RIGGS: There is some renal excretion,
13 and there was a formal study in renal impaired
14 patients, and again, the recommendations were based on
15 those PK data.

16 DR. MASSIE: Thanks.

17 CHAIRPERSON PACKER: Udho.

18 DR. THADANI: Regarding the metabolite
19 which has 60 hour half-life, that means really those
20 adjustments should be at least three weeks or four
21 weeks rather than in seven or one week time because a
22 metabolite is more potent probably or at least has a
23 longer duration of action. So in most of the
24 trials -- in some trials I saw that you increased the
25 dose at three weeks rather than one week interval.

1 How much confidence one has that the doses
2 given is right on combined database?

3 DR. RIGGS: Based on the PK data that we
4 had early on, our pharmacokineticist felt that our
5 drug would be at steady state after three weeks of
6 therapy, and so we felt that three weeks was a
7 reasonable period after which to titrate.

8 DR. THADANI: So that would be the
9 recommendation? One should not increase the dose
10 until three weeks have elapsed?

11 DR. RIGGS: Based on our data, yes.

12 DR. THADANI: Are you going to discuss
13 something more on the drug interactions now or later
14 on in the discussion?

15 DR. RIGGS: We weren't planning to make
16 any formal presentation, but if you have specific
17 questions, we are prepared to answer those.

18 DR. THADANI: I don't know if you want me
19 to do it now or later.

20 CHAIRPERSON PACKER: Why don't we do it
21 later?

22 DR. THADANI: Okay. I will have some
23 questions.

24 CHAIRPERSON PACKER: Rob?

25 DR. CALIFF: I just wondered. You

1 presented that there were 14 deaths in the overall
2 experience. I just wanted to make sure we understood
3 the context or the point of that. Do you think that's
4 a low number of deaths, a high number of deaths? How
5 many were in the control group and how many were in the
6 treated group?

7 DR. RIGGS: The majority of the deaths
8 were actually in open label studies. We did have one
9 patient that I remember in particular from an open
10 label -- sorry -- a controlled study that died of an
11 MI before ever receiving drug. They had been
12 randomized and could have received no more than two
13 doses of drugs.

14 So the majority of patients were in long
15 term, open label studies.

16 The majority of the deaths, as I said,
17 were related to chronic illnesses, such as cancer, MI,
18 stroke. We felt after looking at other databases that
19 this was not a high number of deaths. For example, if
20 you compare our 13 deaths to the valsartan experience,
21 they had a very similar number of deaths with a
22 similar exposure to patients.

23 DR. CALIFF: So there were 13 deaths in
24 the treated group and one in the controlled groups?
25 I'm trying to -- well, I won't belabor it too much,

1 but it just bothers me to say that people die from
2 chronic diseases since I thought the reason we treated
3 hypertension was to prevent stroke and heart attack
4 and those things.

5 It seems like the interaction of the drug
6 with the outcomes for the diseases that we're treating
7 would be important to put into context.

8 DR. RIGGS: I think --

9 DR. CALIFF: We'll get back to this later,
10 I'm sure.

11 CHAIRPERSON PACKER: Yes.

12 DR. RIGGS: I think if you look at the
13 incidence in our program and you compare it with the
14 age adjusted mortality rates published by the CDC,
15 they're very similar. It was about .6 in our program,
16 and if you look at the age adjusted death rate for a
17 60 year old man, for example, in this country, you
18 expect about a one percent mortality rate.

19 CHAIRPERSON PACKER: Ray.

20 DR. LIPICKY: I can't remember. Do you
21 recall how it turned out that 100 milligrams was the
22 highest dose you studied?

23 DR. RIGGS: It was not the highest dose we
24 studied.

25 DR. LIPICKY: Oh. What was the highest

1 dose?

2 DR. RIGGS: We studied 600.

3 DR. LIPICKY: I see. Okay. Do you
4 remember how it was that 100 milligrams was the
5 highest dose of losartan studied?

6 DR. RIGGS: Yes. As we had discussions
7 with the agency when we were designing the program, it
8 was made clear to us that we needed to use the highest
9 dose in the losartan --

10 DR. LIPICKY: No, no. I mean when
11 losartan was developed.

12 DR. RIGGS: That I can't answer.

13 DR. LIPICKY: So it may not be the
14 maximally effective dose. It's the maximally approved
15 dose, but you don't know what a higher dose would do.
16 I think that's a true statement, is it not?

17 DR. RIGGS: My recollection from reviewing
18 the SBA from losartan is that they did not have a
19 significant dose response noted, and so higher doses
20 typically did not provide a better antihypertensive
21 effect.

22 DR. LIPICKY: Was that true for your 600
23 milligram dose also?

24 DR. RIGGS: Are you talking about losartan
25 or tasosartan?

1 DR. LIPICKY: No, yours. I'm switching
2 back and forth, I guess.

3 DR. RIGGS: Sorry.

4 DR. LIPICKY: I'm sorry.

5 DR. RIGGS: I just want to make sure I
6 know what I'm talking about.

7 In the tasosartan program, we studied 600
8 milligrams, and basically there was some small
9 increment in the antihypertensive effect when you got
10 to doses higher than 100, but it was not generally
11 statistically significant.

12 DR. LIPICKY: Okay. Fine.

13 CHAIRPERSON PACKER: Ray, but just
14 briefly, there is a continuing interest of sponsors to
15 compare their drugs to already approved drugs, and the
16 general way that they do that is they come and talk to
17 the agency, and they present a plan. That plan
18 generally consists of one and now commonly two trials
19 where they attempt to show that their drug is in some
20 way better than the approved drug, and the way they
21 choose the dose of the approved drug is they look at
22 the approved labeling, and they generally choose the
23 highest dose that's approved.

24 That's probably a very reasonable thing to
25 do if the approved drug -- the dose in that approved

1 labeling -- that a whole dose range was examined or
2 that the dose that was approved as the maximal dose
3 was the best compromise between efficacy and safety,
4 but if a company didn't do their due diligence on the
5 old drug, there would be no way the new drug would be
6 able to fix the deficits of the old NDA.

7 Would it still not be appropriate under
8 those circumstances to compare one to the highest
9 approved dose?

10 DR. LIPICKY: Well, I mean, this is always
11 a half an hour debate, but, in fact, what one is
12 usually doing is comparing two dosing regimens of two
13 different chemical entities, and if a particular
14 dosing regimen of one chemical entity has a better
15 effect at trough than another dosing regimen of some
16 other chemical entity, that may reflect nothing at all
17 about the chemical entity and its ability to lower
18 blood pressure or interact reasonably, but may simply
19 reflect the dosing regimen.

20 And so it has nothing to do with the
21 intrinsic ability of the chemical perhaps to alter the
22 things. Most often, although we probably recommended
23 that losartan be studied to a gram and we probably
24 recommended that tasosartan be studied to a gram,
25 people rarely will do that and somehow or other decide

1 100 milligrams is the best dose, often on the basis of
2 another 40 patients not having a statistically
3 significant difference when the dose is changed a
4 little bit, which in my judgment doesn't mean much.

5 So basically, I think one's stuck
6 comparing two drugs and two different parts of their
7 dose response curve and/or their time effect curve and
8 then trying to draw conclusions about whether or not
9 these two chemicals differ with respect to their -- so
10 there is a dosing regimen difference. That's not
11 unreasonable to define, but it doesn't mean much I
12 don't think.

13 CHAIRPERSON PACKER: The reason is
14 because, of course, in today's discussion the issue is
15 not just comparative efficacy, but comparative safety,
16 and so it would appear as if at least for today's
17 discussion, the approved dosing regimens of the
18 sartans is one of the comparators that this Committee
19 needs to consider.

20 In other words, it's not the doses beyond
21 those that the sartans may or may not have. Other
22 companies may have evaluated for the sartans either
23 for efficacy or safety.

24 DR. LIPICKY: Yeah. Well, to consider in
25 what sense, and I think it's only the sense that you

1 would consider it that might raise some discussion,
2 but you're right. You can't deal with something you
3 don't have.

4 CHAIRPERSON PACKER: No, no.

5 Okay. Dr. Riggs, you can proceed.

6 DR. RIGGS: I'd like to introduce Dr.
7 Willis Maddrey.

8 DR. MADDREY: What I would like to
9 accomplish in the next few moments is to provide a bit
10 of a framework for the evaluation of liver
11 abnormalities that are found in the course of drug
12 development and what the significance of these
13 abnormalities might be.

14 As you're well aware, virtually all drugs
15 cause some type of abnormality of the liver at some
16 time during development and, of course, in the general
17 use of the drug. When looking at this and evaluating
18 the database, as Dr. Zimmerman and I have had an
19 opportunity to do with this drug, we look for the
20 following factors, as do you:

21 The likelihood that there is or was a
22 liver injury created during the development of the
23 drug that is attributable to the drug.

24 If such is present, to establish the time
25 of onset, and very importantly, if an injury of any

1 type is found, to establish its pattern, recognizing
2 there are two large groups of patterns, those which
3 predominantly affect the hepatocytes, hepatocellular
4 injury, and others which predominantly affect the
5 ability of the liver to make and transport bile, which
6 is called cholestasis.

7 We have chemical markers, of course, which
8 allow us to distinguish between these two, the major
9 two markers being the elevation of ALT as the best
10 marker of hepatocellular injury at a test level, and
11 the elevation of the serum alkaline phosphatase, the
12 best marker of cholestasis.

13 We then want to look at not only the time
14 course, but the course of what happens to the patient
15 following withdrawal. All of these will be relevant
16 to the evaluation of this drug.

17 I might mention that virtually all
18 antihypertensive drugs have been carefully studied for
19 liver abnormalities since the earlier experiences we
20 had with methyldopa and a quite prominent number of
21 cases of elevations of aminotransferases and some
22 liver disease.

23 The risk factors that we focus upon are
24 listed here, far too many for a deep discussion, but
25 we're interested in the age of patients who might be

1 affected. We're interested in the sex of patients who
2 might be affected, recognizing that in general women,
3 particularly women beyond the age of 50, appear to be
4 more susceptible across the board to drug induced
5 liver injury than any other group of patients.

6 We're interested in dose and duration.
7 Obviously some drugs would cause no trouble at all if
8 used for a ten-day period, but might cause a problem
9 if used for longer than six months.

10 We're interested in a variety of factors
11 that relate to the patient. The nutritional status is
12 an important factor because of possible interactions
13 in that regards.

14 We're interested in drug-drug interaction,
15 and this usually leads to a need for knowledge of the
16 cytochrome P450 that is involved in the metabolism of
17 the drug, and of course, we're particularly interested
18 in an interaction with ethanol, which is one of the
19 more commonly used drugs in society.

20 There's limited value from preclinical
21 animal studies. All of us recognize this. What we
22 learn from our animal studies often is whether or not
23 a drug is a poison, whether or not it affects many
24 tissues. We have, of course, in early development of
25 a variety of compounds thrown some out when a definite

1 hepatotoxicity often associated with renal toxicity is
2 found.

3 However, the disconnect between animal
4 data and human data is disconcerting and
5 disheartening, and enormous numbers of studies have
6 amounted to naught in predicting whether or not a drug
7 will cause hepatic injury once used in man.

8 What we're focusing on is the importance
9 of events that are observed in clinical trials. The
10 factors to look at this include the frequency and the
11 pattern of the biochemical abnormalities, the number
12 of patients affected, as well as the sex and age.

13 The maximum height of the abnormalities is
14 important because that determines the strength of the
15 signal that some problem may be present.

16 Of most importance on this slide is the
17 next to the last line: the association of any
18 biochemical elevations with any manifestations
19 clinically that the patient has a liver disorder, and
20 then the course of resolution following a withdrawal
21 gives us some comfort that any change that occurs will
22 be transient and will resolve over time.

23 I want to comment on one drug that we've
24 studied extensively as an example, and that is
25 isoniazid. Isoniazid, which is, of course, one of the

1 more widely used and useful drugs in the world, causes
2 elevations in the ALT in ten to 20 percent of patients
3 who receive the drug. Most of these begin within two
4 months of starting treatment, and most resolve without
5 the necessity of stopping isoniazid. We do not know
6 the exact explanation for this, but we think these are
7 adjustments of metabolism and the ability to use
8 alternative pathways. But it is important that ten to
9 20 percent of patients on isoniazid have some
10 elevation.

11 Severe injury with jaundice occurs in one
12 percent of patients who receive isoniazid, and there
13 is a marked increase in individuals beyond the age of
14 50 years. Patients beyond the age of 50 years who
15 receive isoniazid have upwards to a two percent chance
16 of developing a clinically significant liver disease,
17 and across the board women are at greater risk than
18 men.

19 Now, fulminant hepatic failure develops in
20 ten percent of patients who develop jaundice. I want
21 to point this out because this is the strongest signal
22 that we look for in determining whether or not a drug
23 is going to have major problems. As opposed to the
24 situation in viral hepatitis, a condition in which
25 jaundice is relatively common and deaths fortunately

1 relatively few, if a patient becomes visibly
2 jaundiced, and for that means a bilirubin of greater
3 than three milligrams per 100, you will have roughly
4 a ten percent chance of mortality. This was proven in
5 studies that Dr. Zimmerman and I participated in with
6 the drug selacryn. It has certainly been true in a
7 variety of other situations.

8 So the strong signal that we look for is
9 the development of hyperbilirubinemia or jaundice.

10 Other factors in the isoniazid story were
11 the continued treatment after the appearance of
12 symptoms. If a patient developed symptoms, and often
13 they're nonspecific with anorexia, nausea, malaise,
14 and fatigue, but those patients who persisted in
15 taking the drug after the onset of this change in
16 health were those most likely to develop injury.

17 There was usually complete resolution in
18 nonfatal cases, and isoniazid did not lead to a
19 chronic hepatitis that continued beyond the time the
20 drug was used. This is the focus of what I think
21 you'll see in Dr. Riggs' presentation about
22 tasosartan, and I think where our attention should be
23 focused.

24 The major signals, the signals that will
25 mean that a drug should not be released or will be

1 likely if released to cause a definite amount of
2 trouble include the development of acute liver
3 failure, known to some of you as fulminant
4 hepatocellular necrosis. This is obviously a serious
5 thing. Even one or two in a database is often enough
6 to prevent the development of a drug.

7 The development of other symptoms,
8 particularly anorexia, a bit of nausea, malaise, and
9 fatigue, more difficult to assess, but these are also
10 important in evaluating whether or not the drug is
11 doing real damage to the patient or has the potential
12 of damage to the patient.

13 I have focused on clinically apparent
14 jaundice, and obviously the other serious
15 manifestations follow on the syndrome of acute liver
16 failure.

17 The intermediate signals are the ones that
18 we can most easily measure, and these are the ALT
19 elevations. We focus on ALT well beyond that of the
20 AST. The ALT is the single most important test to us
21 in evaluating.

22 Starting from the bottom, an ALT of normal
23 to up to three times normal in an asymptomatic patient
24 usually is of no particular significance. From three
25 to five, greater than three to five times the upper

1 limit of normal, it does mean the possibility is there
2 that there is some inflammation in the liver, but in
3 an asymptomatic patient this should only heighten
4 awareness.

5 Around five times the upper limit of
6 normal, the awareness should be heightened even
7 further and follow-up measures taken with rechecking
8 in short order. Greater than eight times the upper
9 limit of normal Dr. Zimmerman and I conclude is a
10 significant signal and one that should lead to some
11 action on the part of the clinician.

12 It's a quite minor signal to find any
13 elevation. In fact, upwards to five percent of some
14 drugs commonly on the market right now will have
15 elevations that are slight within the first several
16 weeks.

17 Please understand that these are inexact
18 points that we're discussing here. We have to focus
19 on symptoms. We have to focus on the ALTs. I put up
20 what I do in the next three lines.

21 If I find a patient on a new drug with a
22 greater than three times, I know that this patient has
23 a minimal to moderate amount of inflammation. This
24 doesn't mean liver disease in an asymptomatic patient,
25 and I usually follow that patient up within a week or

1 two.

2 If I find greater than five times, it
3 increases my awareness. I usually get a blood test
4 within a day or two to see if a trend is being
5 established with the line going up rapidly, and I
6 think the prudent situation would suggest that a
7 patient with greater than eight times the upper limit
8 of normal, unless there is an absolutely compelling
9 need for the drug, the agent should be withdrawn.

10 It's very important in trials, and you'll
11 see with this drug a compilation of what happens to
12 patients who are found to have elevated ALT levels and
13 who continue to take the drug. I already mentioned in
14 isoniazid there's a self-correction in a vast majority
15 of these, and Dr. Riggs will show you a self-
16 correction in a large number of patients taking the
17 agent under discussion.

18 What you would like to focus on are the
19 percent who resolve while remaining asymptomatic
20 throughout while continuing the drug, suggesting
21 alternative disposal. You'd like to know if there are
22 any patients who progressed, and if so, progressed to
23 what, and you'd like to know if there are a group of
24 patients and how many who roughly stayed the same with
25 a rather stationary but elevated level of biochemical

1 tests.

2 I wish to make a comment about a small
3 group of patients in this database who started into
4 the trial with an elevation of aminotransferases
5 beyond the upper limit of normal, a real life
6 situation. Fortunately there are relatively few in
7 the database, but enough to allow you to look at those
8 patients who began with a normal ALT versus those who
9 began with a slightly elevated ALT.

10 There is no credible evidence that drug
11 induced liver injury is more likely to occur in
12 asymptomatic patients with no other risk factors, who
13 have slight elevations of ALT. This is useful in the
14 real life practice of medicine, particularly in
15 complicated patients who are on multiple drugs, any
16 one of which could have caused a slight elevation of
17 the aminotransferase.

18 I will close with comments about
19 monitoring. The monitoring of a drug is a very
20 serious consideration whenever we find any
21 abnormalities, and as I mentioned, we find
22 abnormalities in almost every agent. We've had to
23 face this in venues similar to the one we're in today
24 regarding the drug tacrine for Alzheimer's disease,
25 which has a quite high percentage of elevations of

1 aminotransferases. We've had to face it across a
2 broad number of other agents.

3 We're interested in monitoring when there
4 is a definite risk established. We're interested in
5 monitoring particularly if we know the time course of
6 the risk. We wouldn't want to focus on monitoring
7 after three to six months if all of the risk occurred
8 in one week or vice versa.

9 We're interested also in considering
10 monitoring if there's a likelihood that the
11 information gathered would lead to an action that
12 would benefit the patient. In isoniazid, I would
13 submit that it would not benefit the patient greatly
14 if you stopped every patient who showed an elevated
15 aminotransferase because the ten to 20 percent would
16 have been stopped for a drug that is most useful.

17 However, if you had a monitoring and
18 stopped only for a strong signal, there might be
19 benefit, although it's not yet proven.

20 And finally, about monitoring. Monitoring
21 is very difficult to carry out in a practice
22 situation. Patients do not like to come in regularly
23 to be monitored. Doctors do not like to recommend
24 monitoring. I think I could point only to the statins
25 to show you how few people follow the monitoring

1 recommendations for any of these large number of
2 statins, which fortunately cause abnormalities of
3 liver tests in several percent of patients, but never,
4 almost never, cause any significant liver disease.

5 Monitoring is not generally very
6 predictive. It gives more comfort to writing the
7 recommendations than in the following of the
8 recommendations, and the timing of monitoring, if such
9 is chosen, must be based on observed abnormalities.

10 Dr. Riggs will show you the data related
11 to tests of tasosartan. One of the reasons I was
12 asked to present at this point in the discussion is to
13 provide this framework. I think that you will see
14 from this database that there have been no strong
15 signals, not any of the major signals relative to drug
16 induced injury from this drug.

17 You will see that there have been
18 elevations of aminotransferases in a number of
19 patients, and this is all in the background material
20 and will be further presented.

21 A decision about how to follow this up we
22 can discuss further if you so wish. I would think
23 that most of the time, even an expansive database like
24 this, we only learn enough to be prepared for what we
25 see in the first year or two after a drug is on the

1 market, and possibly additional information that could
2 be gleaned from follow-up outcome studies in
3 association with what will appear spontaneously
4 through the reportage mechanism in place will allow us
5 to determine the ultimate safety of tasosartan.

6 Thank you.

7 CHAIRPERSON PACKER: Questions? John.

8 DR. DiMARCO: I enjoyed that presentation.

9 Could you just enlighten me a little bit
10 about what's the mechanism of the injury that leads to
11 the enzyme elevations?

12 DR. MADDREY: In most cases, we believe
13 that drug induced hepatocellular injury is the
14 response of a metabolite of the drug in a possibly
15 susceptible individual. You noticed on the earlier
16 slide I mentioned genetics. There are certain
17 instances now in which rather clearly we can show
18 abnormalities in one or more of the cytochrome P450s.
19 We just don't have good tests yet.

20 We think it's not very much allergic.
21 Allergic was a theory of the past and may be important
22 in some drugs as a secondary phenomenon, but most
23 drugs cause their injury by the effects on the cell of
24 a primary metabolite.

25 CHAIRPERSON PACKER: Udho.

1 DR. THADANI: A couple of questions. One
2 of the issues always is that you see these blips in a
3 sense as, you know, your ALT will go up or AST goes
4 up, but then it comes down without any concurrent
5 other drug therapy. Obviously that complicates it.

6 What's the mechanism of blips? Is it the
7 ultra regulation, liver hepatocellular injury occurs,
8 then normalizes? Is there any biopsies on that or
9 radionuclide studies to look at that?

10 DR. MADDREY: The question about what
11 about the transient blips is a very important one and
12 one for which we do not have a complete answer.

13 I had the opportunity early in the statin
14 experience to biopsy some patients who had developed
15 statin increased aminotransferases, and from that we
16 came to the conclusion that the statin elevations were
17 actually a build-up of the HMG CO A, and that with
18 time follow-up in those patients reveal very little
19 liver disease, suggesting either a feedback that
20 stopped the production of so much of it or alternative
21 pathways to get rid of it.

22 Other suggestions that we have, but not
23 strong proof, is that a number of hepatoprotectants,
24 such as the augmentation of glutathione or the
25 augmentation of sulfation or glucoronidation,

1 processes that might help, or the opening of an
2 accessory pathway for management of an intermediate.

3 The important point is that we don't know.
4 The second important point is for every ten that go
5 up, most will come down with continued drug, and so we
6 want to pick the signal for stoppage at such a level
7 that we do the most good for the patient if the drug
8 is beneficial and the least possible harm.

9 DR. THADANI: To take it further, sir, if
10 you were to -- the drug is causing some hepatic
11 injury. If you increase the dose, you would think the
12 injury would get worse if the drug is directly
13 responsible for some injury?

14 DR. MADDREY: If a drug is directly
15 responsible for the injury and it's from a metabolite,
16 increase in the dose would make it worse, and
17 conversely, possibly decreasing the dose would make it
18 better, although it's most of the time better if
19 you're worried about a drug in a patient to stop it
20 completely. Let everything get back to a baseline.
21 Start again possibly with a lower dose.

22 DR. MADDREY: The other question, I think
23 you showed different levels of threshold of stopping
24 or continuing the medication especially when you're
25 doing open label studies. I can see that, but a lot

1 of times we stop the medications two times or three
2 times, ALT abnormality.

3 How much confidence one has that if you
4 say the abnormality is three times and you continue
5 the drug it won't be eight times or patient will not
6 go into hepatic failure eventually?

7 I realize there's no case of jaundice or
8 anything. What confidence of the studies out there to
9 address this issue in any other double blind study,
10 not particularly this drug?

11 DR. MADDREY: Well, the best evidence in
12 this study, as I recall the information you're going
13 to see, is two-thirds of the time when a blip
14 occurred, continuing the drug was done safely and with
15 a return to the baseline of the drug despite continued
16 dose.

17 DR. THADANI: No, I realized. Say if it
18 goes to three times and you stop the -- you do not
19 stop the drug. You feel confident this patient will
20 never develop hepatocellular injuries in the long run,
21 not only for this drug; for any drug in particular?

22 DR. MADDREY: Well, let's go to another
23 drug. I do not stop anyone below five times the upper
24 limit of normal with isoniazid. I do not stop anyone
25 with several other drugs. I don't want to mention

1 too --

2 DR. THADANI: Well, you know, isoniazid is
3 a different example. You're treating tuberculosis.
4 The patients are, you know, maybe -- I'm just -- other
5 drugs than statins.

6 DR. MADDREY: The statins, there's no
7 reason to stop a statin in an asymptomatic patient for
8 an aminotransferase elevation less than five times the
9 upper limit of normal, and in an important situation
10 I would go to eight clinically because of the
11 experience we have with the statins, with remarkably
12 few liver diseases ever developing in those drugs
13 despite quite marked elevations in some patients.

14 DR. THADANI: And how often, say, if it
15 was five times? You'd do it every week, every four
16 weeks or what's the threshold in practice?

17 DR. MADDREY: Dr. Lipicky would have to
18 help me because he's written most of these. I was
19 involved in the earlier statin labelings in which we
20 went very heavy on monitoring and having backed off it
21 based on experience over the years. Right now I think
22 the recommendations are only once or twice within a
23 year.

24 CHAIRPERSON PACKER: Rob?

25 DR. CALIFF: This is really an

1 interesting, difficult issue obviously, and maybe from
2 your experience -- I doubt if you have empirical data
3 on this -- but I'm interested in knowing. When there
4 has been a drug which has been found to really have
5 hepatotoxicity, what I want to try to understand is
6 how much are you limited in seeing that if the studies
7 are done with patients who are otherwise completely
8 healthy versus patients who might have co-morbidities
9 or be on multiple other medications.

10 Is it usually just an idiosyncratic thing
11 where it shows up equally in otherwise totally healthy
12 people, as in the more sort of complicated mix that
13 one sees when a drug is out?

14 DR. MADDREY: We obviously have real
15 trouble with that issue. We evaluate lots of cancer
16 drugs. When that happens, it's hard to know. We
17 evaluate AIDS drugs. Very difficult to know what to
18 attribute in AIDS.

19 This is a relatively clean background
20 situation. You're talking about patients with
21 hypertension. Now, obviously in the real world this
22 will be used in a number of co-morbid situations,
23 including heart failure and lung disease and things
24 like that. There will be background noise here.

25 I think this will be easier for us to

1 evaluate than a drug for oncology or AIDS. You just
2 look at what the signals are. You count the number of
3 problems and the seriousness of the problems, and you
4 make a judgment.

5 We've been involved with the need to
6 withdraw several agents. We are much more likely to
7 favor withdrawal of an agent that is, say, a diuretic
8 than we would be an agent that is useful in AIDS, and
9 this is where the judgment of the agency and its
10 consultants and the company come to play.

11 DR. CALIFF: Well, I'm trying to ask a
12 slightly more complicated question than that. I mean,
13 I understand that at face value this is a fairly
14 straightforward problem because the studies have been
15 done in clean patients, so to speak, but what I'm
16 asking is how often is it that the problem actually
17 shows up later because there is some sort of an
18 interaction with commonly used therapies in a
19 population or exacerbation of the underlying problem
20 because of a portion of the population may have co-
21 morbidities or other problems which were never looked
22 at in the initial studies because the populations were
23 clean and not representative of what we see in
24 practice?

25 DR. MADDREY: I can --

1 DR. CALIFF: An example might be a drug
2 like mobefrodil which has recently had some
3 difficulties that were not picked up in the clean
4 populations, not with regard to the liver, but --

5 DR. MADDREY: Yes. I can't -- of course,
6 you're talking about something we could spend a lot of
7 time and we do spend a lot of time thinking about it.
8 I look at the release of a drug as just a point on the
9 curve of the safety analysis. You've got a base here
10 of upwards to 4,000 patients. These were, quote,
11 unquote, relatively clean, even though some died. So
12 that suggests some real sick people were in there.

13 Not a patient here died of liver disease
14 or any manifestation of liver disease. I'd be
15 interested in assessing a drug's true potential more
16 after a year or so on the market looking at serious
17 events.

18 What you're getting here is you've had
19 comfort zone number one. It didn't do anything to
20 animals. Comfort zone number two, this drug did not
21 give you any of the major negative signals in your
22 prerelease trials. You will then get comfort zone
23 number three from a combination of what happens in a
24 carefully done outcomes trial, plus what happens in
25 the market.

1 We only picked up the co-interaction, the
2 interaction of alcohol and acetaminophen after
3 acetaminophen had been out a long time in the
4 marketplace, and then we realized that that is an
5 important interaction secondary to the use by alcohol
6 and acetaminophen of a common P450, and that would not
7 have been picked up because you would have excluded
8 heavy drinkers had acetaminophen been evaluated the
9 same way you're doing here or you would have made
10 every effort to do that.

11 CHAIRPERSON PACKER: Rob?

12 DR. CALIFF: I mean, I guess that's
13 actually my point. For later discussion I'm wondering
14 if the studies included before release the real
15 populations that we treat, whether we might pick up
16 some of these things before they're unleashed.

17 I don't have the answer to it, but the
18 acetaminophen example may be one. Maybe we shouldn't
19 exclude alcoholics because we sure treat a lot of them
20 in practice.

21 CHAIRPERSON PACKER: Lem.

22 DR. MOYE: Yeah. Dr. Maddrey, you helped
23 me to gain some appreciation of the apparent lack of
24 harm from some mild, isolated elevations in liver
25 function tests, and though I can't quite say that an

1 isolated elevation in LFT is not such a bad thing, I
2 really can't say it's a good thing.

3 But whether we believe in the risk
4 associated with elevated LFTs, isn't an elevation in
5 somebody's liver enzyme something the doctor should
6 know about? I mean just because we think that -- I'm
7 not saying that you meant to imply this -- but just
8 because we believe that perhaps an isolated elevation
9 in liver function test may be benign, still the doctor
10 is better off having that information to integrate
11 into his fund of knowledge and make some determination
12 as to the suitability of continuing the patient on the
13 medication. Do you agree with that?

14 DR. MADDREY: To an extent. I must tell
15 you though that -- now, I'm a hepatologist, not a
16 cardiologist, and I appreciate the difference --

17 (Laughter.)

18 DR. MADDREY: -- I must tell you that I
19 would hate to stop ten to 20 percent of patients in
20 isoniazid. I'd also hate to stop five to seven
21 percent of patients who are receiving some
22 nonsteroidals. Now, I'm not sure nonsteroidals are
23 particularly useful drugs, but I can tell you that if
24 you measured every few weeks after starting patients
25 on nonsteroidals, you're going to find some elevation

1 certainly in the three range with many of the
2 nonsteroidals available on the market today, and these
3 are not leading to much in the way of liver injury.

4 I think you've got to decide what are you
5 going to do with the information and is the signal
6 strong enough and the risk great enough to warrant
7 getting the information, and that's what your
8 Committee will need to deal with.

9 DR. MOYE: Right. The doctor may decide
10 that, in fact, he wants to adjust dose. She may
11 decide that maybe the patient needs to be warned about
12 alcohol ingestion for a given period of time. There's
13 several options a physician has when confronted with
14 an elevated LFT.

15 However, if what you said was true, and
16 that is monitoring is very difficult to execute in
17 practice, doesn't that -- shouldn't that make us
18 concerned about drugs that require monitoring, if in
19 fact the monitoring -- our comfort level is increased
20 if monitoring is ordered, but if it's not executed,
21 then perhaps the patients are even morbid?

22 DR. MADDREY: I'm not sure how far to go
23 here. I would suggest the following thing. The
24 minute a monitoring schedule is in the book, woe be to
25 the doctor who does not follow it or recommend it. As

1 far as possible other consequences, one of which is
2 financial and the other is legal, there are all kinds
3 of implications here. I wouldn't pretend to know the
4 answer to that question.

5 CHAIRPERSON PACKER: Okay. There are a
6 number of the members of the committee who want to
7 speak.

8 JoAnn.

9 DR. LINDENFELD: Dr. Maddrey, I'm
10 interested in your ideas about the use of two drugs
11 that have similar modest elevations in these liver
12 function tests. What would be your prediction, and
13 thus your recommendation, with two drugs that have
14 these elevations?

15 DR. MADDREY: We run into this all the
16 time, and I'm sure you do, too, since you use multi-
17 drug therapy. You play the odds. You look at the one
18 known to have the most frequent abnormalities.

19 For example, I don't worry much if I see
20 a random something early in the course of a statin,
21 but I might worry a great deal if I saw someone who
22 had started on valium, an extraordinarily safe drug,
23 if that person had an elevation. If the person were
24 taking valium and a statin, then I would blame it on
25 the statin, and I would make my mind up as to what I

1 was going to do just on clinical judgment.

2 DR. LINDENFELD: Just slightly more
3 complex than that, what would your recommendations be
4 for follow-up when you're using a drug that has this
5 level of liver function elevations with another drug
6 that we know commonly does, for instance, a statin?
7 Would you recommend more frequent monitoring when both
8 drugs have this problem?

9 DR. MADDREY: Yes, I would.

10 DR. LINDENFELD: And sort of could you
11 give us a rough idea what that would be when you have
12 two drugs in this one to two to three percent range?

13 DR. MADDREY: No, I can't. I'd have to go
14 drug by drug. If a person had a fungal infection and
15 was receiving a conazole and was also receiving this
16 one, two drugs, the conazole is a well known cause of
17 liver abnormalities. It would almost have to be drug
18 specific, depending on what I know about the
19 metabolism of the various drugs.

20 I become particularly interested in drug-
21 drug interaction when it's known there is a common
22 P450 subspecies involved in metabolism.

23 DR. LINDENFELD: So, for instance, with
24 the statins and this drug you would be a bit more
25 concerned?

1 DR. MADDREY: No, I don't think so. I
2 wouldn't be particularly concerned about statins and
3 this drug based on what I know, but I don't know at
4 all about this.

5 CHAIRPERSON PACKER: I'm totally confused.
6 Since almost every drug that we know of can cause
7 liver function abnormalities, increase in
8 transaminase, and if one assumes that if you use two
9 drugs together your risks are greater than one drug
10 alone -- and I'm not certain that's true, but I think
11 you sort of implied that it might be true --

12 DR. MADDREY: Could be additive, and it
13 could be interactive.

14 CHAIRPERSON PACKER: And one would imagine
15 that given the extremely large number of drugs that
16 most people we see take, for better or for worse, that
17 patients might -- we might end up recommending that
18 patients come back to physicians every week or two
19 forever.

20 DR. MADDREY: Yes.

21 CHAIRPERSON PACKER: But what you've also
22 emphasized, it doesn't matter what we recommend
23 because they won't do it anyway.

24 (Laughter.)

25 DR. MADDREY: That is the truth.

1 (Laughter.)

2 CHAIRPERSON PACKER: Well, it's glad to
3 know that we're useful.

4 Ray.

5 DR. LIPICKY: I'd like to do three things,
6 I think, maybe only two. One is that there is someone
7 as guest of the Committee that was not here at the
8 time that introductions were being made, who is Dr.
9 Lionel Rabin from the Armed Forces Institute of
10 Pathology, who's sitting in the front center row next
11 to Dr. Stevenson, and he might be called upon. He
12 knows a lot about the liver and what's good for people
13 who have liver troubles.

14 And then the second aspect is that I think
15 I want to address something Rob brought up, and
16 although our experience is really relatively small,
17 and this is an experiential statement I want to make
18 and it's limited to labetalol, dilevilol, cellocrin,
19 each of which is a well recognized hepatotoxin that
20 causes significant clinical disease.

21 The underlying status of the patient, that
22 is, whether they were sick or non-sick or complicated
23 or not complicated or anything else, in those three
24 circumstances had absolutely nothing to do with
25 whether they got serum enzyme elevations and/or

1 developed clinical illness.

2 So I don't think that the degree of
3 sickness of people is likely to be important based on
4 those three anecdotal experiences.

5 And I guess the last thing that I want to
6 ask you a question is the kind of guidance that you
7 were laying out seems to make a great deal of clinical
8 sense. How many times have you sat down with the data
9 available within one NDA, applied those rules, and
10 figured out whether you were right or wrong post
11 marketing? Once, twice, zero?

12 DR. MADDREY: I think we try to apply
13 these rules generally back to each NDA. Dr. Zimmerman
14 could comment. We applied this back to the cellocrin
15 NDA data. We applied this back in another way to the
16 benoxiprofen NDA data. So we have done this, and --

17 DR. LIPICKY: So that's two.

18 DR. MADDREY: That's two. I think that --

19 DR. LIPICKY: But you did that after you
20 knew that these were hepatotoxins, right?

21 DR. MADDREY: The reasons that we were
22 concerned though were not ever the aminotransferases
23 alone. It was --

24 DR. LIPICKY: I understand.

25 DR. MADDREY: So we never --

1 DR. LIPICKY: Okay. So --

2 DR. MADDREY: -- put these rules back.

3 DR. LIPICKY: So from a prognostic point
4 of view, you've never tested whether these notions
5 really work?

6 DR. MADDREY: No.

7 DR. LIPICKY: Retrospectively they seem
8 okay.

9 DR. MADDREY: Retrospectively, seem okay.

10 DR. LIPICKY: Okay. Then just one other
11 question, I guess. Well, never mind. I'm done.

12 CHAIRPERSON PACKER: Before we proceed
13 further, could we ask Dr. Rabin to come to the
14 microphone? Do you have any insights for us on any of
15 the issues?

16 I think that we are -- as you're coming to
17 the microphone, let me say that we, I guess, do labor
18 under the advantage or disadvantage of largely being
19 cardiologists, and hepatologists and cardiologists are
20 different, and I guess what we're hearing is that
21 where some elevations of transaminases, perhaps the
22 majority of elevations of transaminases affect
23 hepatologists like first degree heart block affects
24 cardiologists.

25 A hepatologist faced with a concept of

1 first degree heart block would go crazy because of the
2 word "heart block," but a cardiologist faced with a
3 first degree heart block might not. We might say,
4 "Well, we see this, and we see this a lot, and it
5 usually doesn't mean very much unless it gets more
6 severe or it becomes symptomatic."

7 So the analogy here isn't totally crazy,
8 and we get sensitized because transaminases aren't
9 something we are comfortable with, just like heart
10 block isn't something that a hepatologist is
11 comfortable with.

12 So we're in an educational process right
13 now, and we should try to make the most of it.

14 Dr. Rabin.

15 DR. RABIN: The difficulty in resolving
16 some of the issues which are being raised. Very often
17 minor or mild elevations in liver function test
18 abnormalities or liver enzyme abnormalities sometimes
19 do indicate a certain level of liver injury, and there
20 are many times when there is no significant damage as
21 far as the liver is concerned.

22 If the liver biopsy is the gold standard
23 for assessing how much damage might be present or
24 whether any change is significant, then the question
25 arises: at what point do you recommend getting a

1 liver biopsy on a patient where the transaminase
2 abnormality is two times greater than normal or five
3 times greater than normal and so on?

4 At this point it is very difficult to make
5 any assessment just based on laboratory findings and
6 some of the nonspecific, general -- nonspecific
7 symptoms which a patient might experience whether he's
8 on one drug or several drugs.

9 At this point I cannot make any assessment
10 as to the safety or to the predictive changes which
11 might follow, but at least where there is a
12 significant abnormality in the liver enzyme and
13 related tests, I believe that there comes a point
14 where the problem has to be resolved by a
15 morphological examination of liver tissue obtained,
16 which would be obtained by performing a biopsy.

17 I don't know whether that answers any of
18 your questions or concerns with regard to what has
19 been presented already.

20 CHAIRPERSON PACKER: Barry.

21 DR. MASSIE: Dr. Maddrey, you mentioned
22 that the bilirubin or rises in bilirubin to three do
23 provide some prognostic significance. Is there a
24 lower signal in bilirubin that either would prompt a
25 biopsy or be concern? Should we be looking rather at

1 maybe the ALT is the signal to think about other
2 things, but for instance, if somebody's bilirubin
3 starts off at .4 and rises to 1.3, is that a more
4 reliable predictor of subsequent events than ALT going
5 up? Is that something else that can help us?

6 DR. MADDREY: My colleague.

7 DR. ZIMMERMAN: My name is Zimmerman.

8 As Dr. Maddrey pointed out, a bilirubin
9 elevation in the patient who has hyperenzymemia,
10 hypertransaminasemia becomes important.

11 As he also pointed out, there are two
12 types of liver injury. In cholestatic injury, you may
13 have bilirubin elevations with minor elevations of the
14 transaminase that are meaningful with regard to liver
15 injury.

16 On the other hand, in patients with
17 hypertransaminasemia, that's a first clue, and
18 bilirubin elevations at that point, in the patient
19 with elevated transaminase, becomes significant with
20 regard to real liver injury.

21 So three or four milligrams are clearly
22 less threatening than 20 milligrams, and certainly the
23 higher the bilirubin, the more threatening, but once
24 the elevated transaminase is in the range of eight,
25 ten, 12 times the normal and bilirubin elevation, it

1 becomes meaningful, and lack of it is reassuring in
2 that regard.

3 Does that answer your question?

4 DR. MASSIE: Well, actually I'm looking
5 for something more sensitive, and it may not be
6 available to us. Three, above three there's a ten
7 percent change of going on to liver necrosis. To me
8 that's --

9 DR. ZIMMERMAN: Sensitivity is provided by
10 transaminase elevations to such a degree that they
11 reflect minor liver tickling rather than liver injury,
12 and it's only when the levels get high enough that
13 they --

14 DR. MASSIE: Well, what about a bilirubin
15 that's less than three where the risk is already
16 substantial, but having gone up from normal? In other
17 words, if the ALT goes up threefold --

18 DR. ZIMMERMAN: Probably any bilirubin
19 elevation associated with a significant transaminase
20 increase has some significance, but then the higher
21 the value, the more meaningful.

22 DR. MASSIE: I understand the higher. So
23 if it goes up to 1.5, but it was normal beforehand in
24 the presence of an ALT, that might be a better reason
25 to be concerned than the ALT going up fivefold or even

1 eightfold without a bilirubin rise.

2 DR. ZIMMERMAN: Yes. It's like comparing
3 a BR interval of one-tenth of a second prolonged.

4 CHAIRPERSON PACKER: Let's see. We've got
5 Ileana.

6 DR. PINA: This has actually been very
7 instructive in how to look at these liver
8 abnormalities, and if you're a clinicians and you're
9 going to start a drug into one of these higher risk
10 groups, you mentioned gender, female, said
11 particularly over the page of 50, the two percent
12 versus a one percent.

13 After you've started somebody, the drug is
14 working. Whatever your achieved endpoints have been
15 are there. When do you get the first lab test? And
16 if that lab test is normal and there are no ALT
17 elevations, do you stop right there? Do you do it
18 again in a month on a practical sense?

19 DR. MADDREY: Well, that is a practical
20 question, and I think that depends on the drug in
21 question. For example, with nitrofurantoin and
22 related drugs, I think you should check the patient
23 even out to a year. On many other drugs, all of the
24 injury we might expect to see would occur in the first
25 three months. So that's where I think the guidance

1 that the agency gives through the approval process
2 tells you what to do.

3 Some drugs you should never check. I
4 would see no reason to ever check anybody on a
5 benzodiazepine at all ever. There's just been too
6 little background noise at all.

7 Ray?

8 DR. LIPICKY: I have two questions I'd
9 like to ask. One is sort of correct my clinical
10 impressions, I guess. I have in my head that liver
11 problems that could be characterized as cholestatic,
12 bilirubin elevations, alkaline phosphatase elevations
13 in the absence of transaminase stuff, is basically not
14 much to worry about.

15 On the other hand, if you have enzyme
16 elevations and you don't have bilirubin and alkaline
17 phosphatase, then you are really polishing off cells,
18 and you should worry.

19 Now, where has my clinical education gone
20 wrong?

21 (Laughter.)

22 DR. MADDREY: No, it's not gone wrong, but
23 just as all hepatocellular injury is not the same, all
24 cholestatic is not the same. Benoxipofen was pulled,
25 and it was a cholestatic drug, because it had severe

1 injury potential, whereas most chlorpromazine
2 jaundice, which is cholestatic, will go away. It
3 might take months and months to go away.
4 Hepatocellular, the same thing.

5 I think the worst of everything here is a
6 strong clinical signal associated with a markedly high
7 aminotransferase. You usually find the
8 aminotransferase after you recognize the strong
9 clinical signal.

10 DR. LIPICKY: Right. Okay. Fine. And
11 then the second thing that I wanted to ask is I want
12 to make an assertion and see if you agree or disagree.

13 In our experience with labetalol,
14 dilevilol, and whatever that other one was, the thing
15 that was convincing was, indeed, a fairly large number
16 of people who got clinically ill

17 DR. MADDREY: Yes.

18 DR. LIPICKY: And the number of people
19 that had indications that might lead you to think they
20 might get ill were fairly numerous, but not very much.
21 I mean they had little enzyme changes.

22 So if one figures that the incidence of
23 clinical disease will be, say, ten percent of those
24 people who, in fact, develop enzyme abnormalities,
25 then basically to get this database of a lot of people

1 who have clinical disease, one basically would need to
2 be in the 10,000, 20,000 range to be able to look at
3 that.

4 So is it your suggestion -- well, I guess
5 there are two questions I'd like to ask. One, whether
6 you agree with what I've just said, and if you do,
7 then I want to follow it up.

8 DR. MADDREY: I agree with most of it.

9 DR. LIPICKY: Okay. Then let me follow it
10 up.

11 So is it your suggestion then that the
12 American public paying for a drug to treat their
13 hypertension should, in fact, provide the database by
14 finding this large number of people that have clinical
15 illness, or is that something that should occur before
16 the American public pays the price?

17 DR. MADDREY: I think that decision is
18 what is up to this panel based on what you think about
19 the strength of the signals.

20 DR. LIPICKY: Okay.

21 DR. MADDREY: I saw nothing in this
22 database to make me think there's a strong enough
23 signal to warrant mandatory monitoring.

24 DR. LIPICKY: Okay.

25 DR. MADDREY: However, as I pointed out,

1 I view the approval of a drug as just a point on the
2 curve and would be very interested and would easily
3 change my opinion in the first year or two after
4 release as we've had to do with other drugs recently,
5 depending on whether new signals appeared because of
6 the size of the database.

7 CHAIRPERSON PACKER: Ray, the question is
8 a very critical one. Obviously that's what this
9 committee meeting is all about, but given the
10 enormously high frequency of LFT abnormalities, it is
11 sort of a general drug phenomenon?

12 If one concluded one needed more before
13 approval, it would not only affect the review of this
14 drug, but would greatly increase the requirements for
15 a safety database for everything that agency sees
16 because so many drugs have this predilection.

17 DR. LIPICKY: Where is the data that
18 supports that statement, that so many drugs have that
19 predilection? It seems to me that within the NDA
20 databases that we've shown you in the stuff that we
21 sent out that, in fact, this seems to come out of that
22 database as having more of a signal than usual, and
23 that is, in fact, what brought it here.

24 The usual signal is something that's
25 easily manageable, and it sort of gets at what Rob is

1 going to get at probably later in the game, but that
2 is we really do look at drugs of this nature that are
3 approved on the basis of a surrogate without looking
4 at the real efficacy because we've not agreed
5 tasosartan is effective. We just say it's an
6 antihypertensive.

7 And we certainly do not want to have
8 things go out that have one in 1,000 incidence of
9 serious stuff, but if we're only requiring a 2,000 or
10 3,000 patient database, we obviously can't make a
11 statement about things that are one per 1,000.

12 So we look for signals very carefully, and
13 when it appears that a signal might be there, we, like
14 you are now, are always in Never Never Land.

15 CHAIRPERSON PACKER: Okay. Marv.

16 DR. KONSTAM: I just wonder if we could
17 ask Dr. Rabin to come to the microphone again and
18 would comment specifically on the scheme proposed by
19 Dr. Maddrey and whether he agrees with it with regard
20 to the level of ALT that's causing concern.

21 I interpret his presentation as indicating
22 that until you get to eight times or at least five
23 times the upper limit of normal of ALT you really
24 would not be terribly concerned at least to the point
25 of discontinuing a drug, if I interpret it correctly.

1 I wonder if you could say if you agree
2 with that or whether I've misinterpreted it.

3 DR. RABIN: I don't know whether I can be
4 in agreement with that because very often it's very
5 difficult to make a correlation between the actual
6 numbers of the abnormal laboratory findings and what
7 we see when we examine a liver biopsy to identify
8 liver damage. It is not uncommon that there is poor
9 correlation between the laboratory abnormalities and
10 what we find morphologically when examining a liver
11 biopsy.

12 DR. KONSTAM: Well, but to deal with this
13 data set, I guess one of the signals that we have here
14 is that there is a certain number of discontinuations,
15 and those discontinuations are based on ALT elevations
16 in part, and I guess one of the questions that we're
17 going to have is whether those decisions were made
18 rationally by the investigators

19 And so I think it's worth, you know, just
20 honing in on whether or not, you know, we agree with
21 Dr. Maddrey's scheme, that it really doesn't make much
22 sense based on what we know to necessarily discontinue
23 a drug based on a three times upper limit of normal
24 increase in ALT.

25 DR. RABIN: Well, I'm just wondering

1 whether it should be a matter of clinical judgment as
2 to whether a clinician in care of a patient, finding
3 abnormal liver enzyme tests, liver enzyme and related
4 tests, at what point should there be confirmation or
5 an attempt at confirmation by obtaining a biopsy and
6 assessing any morphologic changes, and whether this
7 can be correlated with the finding.

8 The name of the game really is clinical
9 pathologic correlation, and in many instances or I
10 might say it is not uncommon that clinical
11 pathological correlation can be quite difficult.

12 CHAIRPERSON PACKER: Dan.

13 DR. RODEN: Thanks, Milt.

14 I had a couple of questions perhaps for
15 Dr. Maddrey. I am still confused about the mechanism
16 of elevation of transaminases. Is that a sign of
17 hepatocellular injury?

18 Can I just get a yes or a no?

19 DR. MADDREY: Yes, I think so.

20 DR. RODEN: Okay.

21 DR. MADDREY: I think that if you have
22 elevated aminotransferases at the 3X range, you rather
23 definitely will have at least minimal inflammation.
24 I believe below that you might find not anything at
25 all.

1 DR. RODEN: But it means cells are
2 releasing their enzymatic contents?

3 DR. MADDREY: It means that
4 aminotransferases, which are inside the cell and
5 supposed to stay there, are now for some reason
6 outside the cell. The cell has either leaked or one
7 or two have exploded. That's what it means.

8 DR. RODEN: So it seems to me there are
9 two causes for elevated transaminases. I mean, one is
10 that they're being released. The other is that
11 they're not being eliminated at the same rate.

12 So how are transaminases eliminated?

13 DR. MADDREY: As all proteins. I forget
14 the half-life of them, but it's pretty quick. So they
15 stay in the liver cell normally. There's a little bit
16 of transaminase in everyone.

17 DR. RODEN: Right.

18 DR. MADDREY: Just the normal turnover.
19 This just suggests there's been an accelerated
20 release. There's no evidence there's a block in
21 elimination.

22 DR. RODEN: Okay, and then just for my own
23 interest, can you tell me which system has genetic
24 defects that cause liver disease?

25 DR. MADDREY: Debrycoquin, a drug that

1 many of you --

2 DR. RODEN: No, that doesn't cause liver
3 disease though.

4 DR. MADDREY: A debrycoquin?

5 DR. RODEN: No.

6 DR. MADDREY: Yeah. Yeah.

7 DR. RODEN: Having spent the last 20 years
8 of my life studying it --

9 DR. MADDREY: I thought the P --

10 DR. RODEN: I don't think debrycoquin --
11 the debrycoquin polymorphism is associated with liver
12 damage.

13 DR. MADDREY: I'm going to turn to my
14 colleague here.

15 DR. ZIMMERMAN: You're right. Debrycoquin
16 doesn't cause liver disease, but it's a useful marker
17 for P450 2D6.

18 DR. RODEN: Right.

19 DR. ZIMMERMAN: Now, P450 2D6 fails to
20 inactivate peraxoline maleate, and peraxoline maleate
21 leads to liver injury. So people who are defective in
22 P450 2D6, an item that you identify with debrycoquin
23 now develop the liver injury.

24 May I also comment on Dr. Rabin's
25 appropriate comment? He's quite right that in a

1 smoldering disease like Hepatitis C correlation
2 between the biochemical markers and injury are poor.

3 That's quite different from drug injury
4 that occurs during the evolution of use of the drug.
5 There the correlation is really quite good, as has
6 been pointed out by Dr. Maddrey. You know what is
7 true when there is twofold elevation, by and large,
8 and when there's tenfold elevation. So the
9 correlation is much better there.

10 So the truism you heard is right, but it
11 doesn't apply to the setting of drug induced injury.

12 DR. RODEN: It seems to me the problem is
13 that we don't really -- I mean we're using -- the
14 evaluation of this drug is going to involve the
15 evaluation of what Rob Califf almost certainly will
16 call a surrogate endpoint for efficacy, and we're
17 being asked to evaluate the other end of the risk
18 balance equation using a surrogate endpoint for
19 toxicity.

20 And people around this table have spent a
21 lot of time thinking about surrogates in one way or
22 another, and it seems to me this is not a very well
23 understood surrogate, and that it might be a marker,
24 and it might not be. That's not a comment that needs
25 an answer.

1 CHAIRPERSON PACKER: John.

2 DR. DiMARCO: We've heard a lot about
3 single point in time estimates of enzyme elevations.
4 This is a drug that might be used continuously for
5 years and years and years. What's the effect of, you
6 know, what you said is a continuous liver injury, even
7 if it's very low level? Do we have any idea what a
8 continuous elevation at three times normal for 15
9 years would product?

10 DR. MADDREY: Well, I tried to pass that
11 one off to Dr. Zimmerman, and he wouldn't receive it
12 because we just don't know. We just don't know.

13 CHAIRPERSON PACKER: Let me try to, John,
14 follow up on that.

15 If someone had an increase of three times
16 normal and because recommendations for monitoring are
17 not frequently followed, the possibility of a drug
18 induced or drug associated increase in LFTs that would
19 go on for months is not a crazy idea. It could
20 happen.

21 And I guess what you're saying is because
22 of the way the drug trials are constructed and carried
23 out, there isn't a whole lot of experience knowing
24 what happens under those circumstances. Is that fair?

25 DR. DiMARCO: Because when you're really

1 talking about it is that these enzyme elevations cause
2 -- are a marker of continuous or of liver injury. If
3 it's continuous, the liver eventually might not be
4 able to compensate. Is that correct or can the liver
5 always compensate?

6 DR. ZIMMERMAN: There are a number of
7 phenomena that interplay in this. First of all, acute
8 liver injury, hepatocellular damage of importance,
9 will either occur during the first few months of
10 taking the drug or not occur at all.

11 On the other hand, chronic injury does
12 occur with some drugs, probably involving more than
13 just some minor injury being prolonged, but probably
14 an immune response to it because a form of chronic
15 hepatitis does occur with some drugs, and there are
16 characteristics that are those resembling autoimmune
17 disease.

18 So the answer to your question is chronic
19 injury can occur in some settings, but probably
20 reflects more than just a little bit of elevation
21 going on for a long time, but the factors that affect
22 that are not at all clear.

23 CHAIRPERSON PACKER: Ray.

24 DR. LIPICKY: Can you give me a feeling
25 for what the enzyme elevation means? That is, let's

1 say one percent of the liver cells suddenly drop dead.
2 How high would that make the enzymes go?

3 Let's say ten percent of the liver cells
4 suddenly drop dead. How high would that make the
5 enzymes go?

6 DR. MADDREY: No, we can't do that with
7 any specificity. Some of the highest we absolutely
8 see is in a cardiovascular situation or a patient with
9 chronic congestive failure who develops an arrhythmia
10 and will show amino transferases in the may thousands
11 that will go down rather rapidly.

12 I think in that situation it shows the
13 cells are stressed and have released a lot of enzyme.
14 It doesn't necessarily mean, of course, they've all
15 died because we get levels in that situation not
16 dissimilar to what we get in fulminant hepatitis.

17 I don't think there's a very good
18 correlation between the number of cells damaged and
19 the height of the enzyme in any clinical setting that
20 I can much think of.

21 DR. LIPICKY: So this isn't sort of like
22 for myocardial enzymes where, you know, nothing comes
23 out of the cell unless the cell is dead?

24 DR. MADDREY: No, this is just a market.
25 A cell can leak --

1 DR. LIPICKY: And we're, in fact, mass --

2 DR. MADDREY: A cell can leak enzymes, we
3 think, and remain viable. Does it shorten its life?
4 Who knows? I mean we don't follow individual cells.

5 This is just a clinical surrogate trying
6 to pick up what I would consider a relatively weak
7 signal, but a signal not to be denied after a certain
8 level, and we have picked this 8X just based on
9 clinical experience.

10 CHAIRPERSON PACKER: Udho.

11 DR. THADANI: I think Milton made a
12 comment about a parenteral. As a cardiologist when
13 I'm attending on the intensive care unit, I see these
14 enzyme blips all the time, patients with unstable
15 angina, heart failure. It's very rare that we ask a
16 hepatologist to come unless the levels are very high
17 or a patient is jaundiced.

18 Now, the difficult sometimes one has is
19 when in these trials you stop it because you're
20 watching the patient three times normal. The question
21 came up, and as you alluded, bilirubin probably is an
22 important marker.

23 So if you're saying you're not going to
24 watch the patient and once the bilirubin goes up it
25 could be risky, and in the database looking at a lot

1 of A II blockers, one doesn't find hepatitis evidence
2 as with other drugs. Does that give you confidence
3 that it may not occur, but the fact that drug has been
4 stopped it could occur maybe in patients who are
5 sensitive to some hepatocellular injury, that
6 eventually they may get a glubin (phonetic) increase
7 or can you be sure they will never get it?

8 DR. MADDREY: I just can't give you a
9 solid answer to that. I'd have to evaluate it
10 situation by situation in a clinical setting.

11 DR. THADANI: See, the question becomes
12 relevant even in the post, you know, after the drug
13 approval. Some of the briefs (phonetic) have been
14 given. Maybe one in 700 will get some hepatitis based
15 on elevated bilirubin and the liver injury, not
16 cholestatic type, which is a different issue.

17 And the question then comes that you need
18 thousands of patients to even address that, and we
19 have no way of doing that.

20 And the other problem is when the open
21 label studies, when I look at it, a lot of patients
22 are on other drugs, too. So how one can be sure in
23 the open label studies the drug in question is causing
24 it or other drugs' addition might be making it, that
25 becomes very difficult at least when I review it.

1 DR. MADDREY: Yeah.

2 DR. THADANI: I just want your comments
3 because you suggested in post marketing you should
4 follow it, but post marketing we don't control other
5 drugs at all. Half the times patients don't even tell
6 you what they are taking over the counter. They might
7 have had a flu-like illness or something which could
8 bump your enzymes. How do you know it's a drug, not
9 the other thing going on?

10 DR. MADDREY: You don't, and you use a
11 weight of evidence approach. In a situation such as
12 this, you probably will have patients only on two or
13 three of the drugs, not ten or 12. You rank those
14 drugs by what you know. You look at individual
15 situations. You look at the strength of those
16 clinical signals that appear, and then you just come
17 up with a judgment, and you hope you've made the right
18 one.

19 CHAIRPERSON PACKER: Dr. Maddrey, before
20 you sit down, you mentioned that symptoms are an
21 important determining of your level of worry. Just to
22 clarify, you mentioned anorexia and nausea and
23 malaise, fatigue. Obviously jaundice would be in that
24 category. How about fever?

25 DR. MADDREY: Fever is not very important

1 in most drug induced liver injuries. Right upper
2 quadrant abdominal discomfort, not as often pain as a
3 dragging sensation that just something's not right
4 occurs, too, but actually fever is not important with
5 most drugs.

6 There are a few, halothane being an
7 example in which fever has been a major thing. Some
8 of the methyldopa cases had some fever early on, too.
9 There have been a few other fevers, but most drugs we
10 see do not produce fever at the time of the liver
11 injury.

12 CHAIRPERSON PACKER: Is fever a symptom?
13 In other words, when you talk about --

14 DR. MADDREY: If you get hot and that
15 leads to a measurement of it, it crosses over there.

16 CHAIRPERSON PACKER: No, no, I'm sorry.
17 In determining the degree that the drug has passed a
18 clinical threshold --

19 DR. MADDREY: No.

20 CHAIRPERSON PACKER: -- of the symptoms,
21 is fever one of them?

22 DR. MADDREY: No, it is not. No, fever to
23 me would suggest some kind of a complication, but not
24 necessarily a liver complication.

25 CHAIRPERSON PACKER: Okay. Thank you very

1 much.

2 We've had an important general discussion
3 about the issue of drug induced liver function
4 abnormalities. The Committee has been provided with
5 a summary by Dr. Fenichel of the agency's experience
6 with selected agents as it relates to their
7 predilection to cause liver function abnormalities or
8 hepatotoxicity, and the summary is very instructive in
9 the sense that it appears as if much of what we
10 learned during drug development may or may not predict
11 what happens in the course of long term therapy.

12 There have been many examples which are
13 listed here, including, I think, perhaps one of the
14 more striking examples which is tacrine, which caused
15 no liver function abnormalities or hepatotoxicity in
16 animal studies, caused a lot of liver function
17 abnormalities in the clinical trial development, but
18 apparently has not caused much of a problem at all in
19 terms of hepatotoxicity post marketing.

20 On the other hand, there are the reverse
21 patterns as well, and Dr. Fenichel, as well as many
22 other members of the agency, are here as resources to
23 the Committee to talk about any of these other
24 experiences as the committee requires as the
25 discussion unfolds.

1 Now to the specific example of tasesartan.
2 Dr. Riggs.

3 DR. RIGGS: Detailed analyses of all data
4 concerning liver function test abnormalities in the
5 tasesartan development program have been performed,
6 including preclinical and clinical data. There were
7 no laboratory or histopathological findings in our
8 preclinical toxicology studies, and this is also the
9 conclusion of the FDA reviewers.

10 Consequently, I will not be presenting
11 preclinical data. However, Dr. Gerald Fisher, head of
12 our Drug Metabolism and Toxicology Group, is available
13 to answer questions from the panel.

14 Highlights of the important analyses of
15 the clinical data will be presented in detail,
16 including a comparison of the findings with losartan
17 as published in the literature.

18 Definitions used during the analyses of
19 the LFT data are summarized in this slide. The data
20 were analyzed separately depending on whether the
21 patient's baseline was normal or abnormal. The level
22 of potential clinical significance for transaminase
23 values was three times upper normal limits for normal
24 patients and three times baseline for patients who had
25 abnormal baseline values.

1 This was based on the 1979 publication
2 form the Fogarty conference and the recommendations of
3 our consultants.

4 In this presentation, patients' abnormal
5 transaminase values are defined as resolved if these
6 parameters return to less than two times upper normal
7 limits or baseline.

8 Discontinuation due to LFTs was counted
9 only if this was the primary reason for
10 discontinuation specified by the investigator on the
11 case report form.

12 For simplicity of presentation, I will
13 combine data for the Phase 2 and 3 controlled and open
14 label studies, in contrast to the detailed breakdown
15 of data shown in the executive summaries provided to
16 members of the panel.

17 In the Phase 2 and 3 studies, 4,409
18 patients treated with tasosartan monotherapy or
19 combination therapy had at least one on therapy
20 laboratory evaluation. Of these, 1.8 percent had a
21 potentially clinically significant transaminase
22 elevation.

23 Of the 3,776 tasosartan treated patients
24 who had normal LFTs at baseline, 1.9 percent had
25 potentially clinically significant transaminase

1 elevations.

2 This combined analysis of all Phase 2 and
3 3 studies represents the worst case scenario since it
4 includes patients from both double blind and open
5 label studies, plus patients treated with monotherapy
6 or tasesartan plus hydrochlorothiazide. Thus, it more
7 closely reflects a real world experience.

8 Before I discuss the incidence of
9 discontinuations that received the focus of the FDA
10 review, I would like to discuss those patients who did
11 not discontinue despite LFT elevations. These
12 patients are an important group to examine because in
13 contrast to patients who discontinue study drug, their
14 fate is known and is not open to speculation.

15 In fact, the majority of patients with
16 transaminase elevations in our clinical program did
17 not discontinue the study. Forty-nine patients in
18 controlled and open label studies with potentially
19 significant elevations who remained in the study, the
20 laboratory values returned to normal in fully two-
21 thirds of the patients, while those patients continued
22 treatment with tasesartan.

23 This occurred even with maximum elevations
24 as high as nine and a half times upper normal limits
25 in the controlled studies and over ten times upper

1 normal limits in the open label studies.

2 In the remaining one-third of patients
3 with elevations who remained in the study, the
4 patients were entirely asymptomatic and their LFTs
5 returned to normal at the end of the study when
6 tasosartan was discontinued.

7 An example of one such patient who had
8 resolution on therapy is shown in this graph. This
9 patient was treated with 300 milligrams of tasosartan
10 for four weeks in Protocol 201. At three weeks of
11 therapy, the patient's ALT increased to nine and a
12 half times upper normal limits. The patient was
13 asymptomatic and remained on treatment.

14 Both the ALT and AST had resolved to
15 normal limits prior to the end of the double blind
16 treatment period as shown by this line.

17 Considering the total group of 83 patients
18 with potentially clinically significant LFT
19 elevations, no patients had clinical sequelae, such as
20 significant hyperbilirubinemia or jaundice,
21 hospitalization or drug related deaths due to liver
22 failure.

23 As I've previously mentioned, one of the
24 reasons that we were asked to present tasosartan to
25 the Advisory Committee was because of the FDA's

1 concern about the discontinuation rate due to LFTs in
2 the tasosartan program. This was felt to represent a
3 risk with tasosartan not seen with other angiotensin
4 II antagonists. The next few slides will address this
5 issue.

6 In the control trials, ten of 2,550
7 patients, .39 percent, who had at least one on therapy
8 laboratory evaluation, discontinued because of LFT
9 abnormalities. In all ten cases, LFTs returned to
10 normal.

11 In the open label studies, 45 of 1,859
12 patients discontinued because of LFT elevations. In
13 43 patients the laboratory values resolved. In two
14 cases the last laboratory value was less than three
15 times upper normal limits, and no further follow-up is
16 available since both of these patients were placed on
17 alternative antihypertensive medications that can
18 cause LFT abnormalities.

19 During the review of our NDA and in the
20 background material provided for this meeting, the FDA
21 has compared discontinuation rates seen in our program
22 with those of other antihypertensive dossiers. We
23 believe that this across-dossier comparison is
24 probably not valid for the following reasons.

25 There is a marked difference in the

1 frequency of laboratory sampling in our program
2 compared with others. The duration of studies was
3 longer in the tasosartan program for the controlled
4 trials.

5 Also, we did not prespecify the rules for
6 discontinuing patients due to transaminase
7 abnormalities.

8 The next few slides will illustrate the
9 impact of each of these factors. Since we did not
10 prespecify the rules for discontinuing patients due to
11 laboratory abnormalities, the discontinuation rate in
12 our program was a reflection of the investigator's
13 judgment, experience and training.

14 For example, one European site was
15 responsible for 30 percent, or three of ten patients,
16 discontinued for transaminase abnormalities in the
17 controlled trials. One of the three patients was
18 discontinued for values that were only two times upper
19 normal limits.

20 It should be noted that despite accounting
21 for approximately one-third of the dropouts, this site
22 enrolled only two percent, or 51, of the 2,550
23 patients in question.

24 In trying to put the LFT data into
25 perspective, we also examined the FDA medical reviews

1 for losartan and valsartan to determine the designs of
2 their studies. In the tasosartan program, laboratory
3 samples were collected much more frequently than in
4 either the losartan or valsartan programs. The impact
5 of the difference in sampling frequency is significant
6 and is shown in the next two slides.

7 This patient graph was shown to you
8 previously. The patient had a nine and a half times
9 upper normal limit elevation in ALT during tasosartan
10 treatment in Study 201. This transient rise and fall
11 in the transaminase values was detected by the
12 frequent sampling schedule shown at the bottom of the
13 graph.

14 This is a simulation of data for the same
15 patient in the previous slide using a different
16 sampling schedule, the one used in valsartan Study 10
17 shown at the bottom of the graph. With this regimen,
18 the patient's transient rise in transaminase values
19 would have been completely missed. In fact, because of
20 the transient nature of LFT elevations in the majority
21 of our patients, approximately 30 percent of the
22 elevations would have been completely missed by a less
23 frequent sampling schedule.

24 The impact of the frequency of laboratory
25 sampling on the incidence of transaminase elevations

1 is shown in this slide. In the controlled trials with
2 tasosartan, 32 patients out of 2,550 on monotherapy or
3 combination therapy had elevations that were of
4 potential clinical significance or an incidence of 1.3
5 percent. Since 12 of these patients had resolution of
6 the abnormal labs on therapy and prior to the end of
7 double blind treatment, they would have been missed by
8 a less frequent lab sampling regimen, such as the one
9 used with valsartan. Thus, the incidence would have
10 decreased to .8 percent.

11 In addition to the sampling frequency, the
12 length of some of our studies was longer than in the
13 losartan development program. As shown here, no
14 losartan controlled studies had a duration of
15 treatment longer than 12 weeks. This is in contrast
16 to two of our controlled trials that lasted longer
17 than 12 weeks.

18 The discontinuation rate in our program
19 was affected by study duration. Half of the
20 discontinuations in the controlled trials occurred
21 after 12 weeks of therapy. Had our clinical program
22 included only shorter studies, as did losartan and
23 valsartan, the tasosartan discontinuation rate would
24 have been lower. Therefore, had our studies resembled
25 those of the valsartan program, 50 percent of the

1 discontinuations due to LFTs in the controlled trials
2 would not have occurred, and this would have left us
3 with an overall discontinuation rate of five of 2,550,
4 or an overall incidence of .2.

5 This figure might not have raised a
6 reviewer's concern since the valsartan discontinuation
7 rate of .16 percent.

8 If one completed Table 2 from the FDA
9 background package using data from the tasosartan
10 controlled trials which was similar to other programs,
11 that is, excluding dropouts after 12 weeks as shown in
12 the highlighted row at the bottom here, the incidence
13 of discontinuations due to LFTs is similar to other
14 programs, especially that of ysartan.

15 Study duration also has an impact on the
16 overall incidence of abnormalities. For example, in
17 the tasosartan controlled trials, 11 of 20 cases of
18 transaminase elevations occurred in patients who were
19 treated with tasosartan monotherapy for more than 12
20 weeks. If the program had included only controlled
21 trials of shorter duration, these would have been
22 missed, and the incidence rate would have been even
23 lower.

24 Remember that we have performed all of
25 these post hoc analyses to establish the well known

1 fact that comparisons across different databases are
2 subject to methodologic bias.

3 After a review of the NDA database, we
4 felt comfortable with performing post NDA studies
5 using the laboratory sampling frequency that was used
6 in the valsartan program. These post NDA studies,
7 Protocols 328 and 330, are the studies demonstrating
8 superior efficacy of tasosartan over losartan that I
9 showed you previously.

10 While tasosartan was shown in these two
11 studies to have superior efficacy, we believe that
12 tasosartan is similar to losartan with regard to
13 safety. This is based on a review of the literature,
14 as well as on our own post NDA studies.

15 When tasosartan and losartan were studied
16 under the same conditions, the incidence of
17 potentially significant ALT elevations was similarly
18 low in both groups. In fact, only one patient who was
19 treated with losartan, 100 milligrams, had an ALT
20 elevation that was greater than three times upper
21 normal limits. There were no tasosartan patients with
22 greater than three times upper normal limits
23 elevations in these two studies.

24 Furthermore, no patients discontinued
25 because of ALT elevations because they did not have

1 the opportunity.

2 Recent reports from the literature have
3 expanded the knowledge of losartan's impact on LFTs.
4 A recent review of 16 double blind and four open label
5 studies by Dr. Weber reported that elevated ALT was
6 the most common laboratory adverse event reported in
7 these studies. It occurred in 1.9 percent of losartan
8 treated patients, an incidence that is similar to that
9 seen with tasosartan.

10 In response to a case report that appeared
11 in JAMA in 1997, Merck responded with a letter that
12 described the following statistics on post marketing
13 experience with losartan. Approximately two million
14 patients have received losartan treatment during the
15 past three years. Only 80 post marketing reports of
16 liver function abnormalities have been received to
17 date by Merck. Thus, while LFT abnormalities have
18 been associated with Losartan in the marketplace, the
19 incidence is low, as has been the severity.

20 This supports the fact that as a class the
21 angiotensin II receptor blockers have an excellent
22 safety profile, although occasional laboratory
23 abnormalities may be reported. Based on our data, we
24 believe that tasosartan performs like other members of
25 this class.

1 In summary, there is no evidence of drug
2 related hepatotoxicity in the tasosartan preclinical
3 studies. In the clinical database, 59 percent of
4 patients with elevations did not discontinue, and in
5 two-thirds of these patients the laboratory findings
6 resolved on therapy. No patients with elevated LFTs
7 experienced clinical sequelae associated with these
8 laboratory findings.

9 When losartan and tasosartan are studied
10 under the same conditions, the incidence of
11 transaminase elevations associated with both drugs is
12 similar.

13 In conclusion, we believe that tasosartan
14 is safe and manifests no greater evidence of
15 hepatotoxicity than other marketed agents. Wyeth-
16 Ayerst is confident of the safety of tasosartan. We
17 are planning to perform a large outcome study once the
18 drug is approved. This study will answer important
19 questions about the morbidity and mortality associated
20 with hypertension, but it will also provide a large
21 enough data set to answer additional safety questions.

22 CHAIRPERSON PACKER: Well, we'll take some
23 questions at this particular time from the Committee,
24 and let me ask the Committee to restrict their
25 questions to the specific data or specific example of

1 tasosartan as opposed to the general discussion that
2 came earlier.

3 Marv.

4 DR. KONSTAM: Maybe you touched on this,
5 and I may have missed it, but with regard to the ten
6 patients or the 13 patients, whichever number you want
7 to take, do we know anything more about those
8 patients, about what might have entered into the
9 clinical judgment to discontinue those patients?

10 In other words, what degree of
11 investigation has been carried out to see whether
12 there were associated clinical features that might
13 have prompted the clinician to interpret the elevated
14 ALT as indicating a need to stop?

15 DR. RIGGS: We've looked at these cases
16 very carefully, and as I said, in our program we did
17 not provide any guidance in our protocols for the
18 investigators to decide when to discontinue a patient.
19 It was strictly up to their judgment.

20 We did ask them to provide us with all of
21 the study events that occurred for every patient,
22 including the ones who discontinued, and for the
23 discontinued patients we wrote an extensive narrative
24 summary that was provided to the FDA so that if we
25 needed additional data we could obtain that from the

1 sites as well.

2 In reviewing those ten cases, we did not
3 find anything that would indicate there was an
4 additional problem with the patients that would cause
5 them to discontinue. There were no patients that were
6 jaundiced of those ten. There were no patients who
7 had any kind of major symptoms of liver disease.

8 DR. KONSTAM: So none were fatigued. None
9 had general malaise. None had anything, and maybe I'd
10 like it expanded to the 13 patients because I guess
11 the additional three patients were patients who were
12 stopped for some other primary reason, but the FDA
13 identified elevated ALTs or some LFTs abnormalities in
14 them; is that correct?

15 DR. RIGGS: That is correct. Remember
16 when we were looking for -- trying to do an analysis
17 of the discontinuation rate for LFTs, if this is a
18 signal of anything -- and we're not confident that it
19 is -- but if it's a signal for anything, I think you
20 have to restrict your analysis to what the
21 investigators tell you, and if they tell you that
22 they're not discontinuing the patient for a
23 transaminase elevation, we didn't take that.

24 But whether it's ten or 13, let me make a
25 couple of additional comments. There was one patient

1 who was treated with 300 milligrams in the 201 study
2 who discontinued because of transaminase elevations
3 who reported a feeling of nausea typically associated
4 two hours after taking the dose of medication.
5 Sometimes the patient had another episode of nausea in
6 the evening. So that patient did have some symptoms
7 associated with the transaminase elevations, but did
8 not have hyperbilirubinemia and did not have any
9 symptoms of apparent liver disease.

10 DR. KONSTAM: Okay. So that was one of
11 the ten.

12 DR. RIGGS: One of the ten that had what
13 I think were fairly minor symptoms.

14 DR. KONSTAM: What were the reasons that
15 the three other patients were stopped, the ones in
16 whom the elevated LFTs were identified after the fact?

17 DR. RIGGS: We actually have a back-up
18 slide that talks about that. If I could have Carousel
19 B, Slide 37.

20 I think while we're waiting for the slide
21 to come up, one of the very first patients that you're
22 going to see in the control trial is listed as having
23 been discontinued for bilirubin, which would probably
24 catch your attention.

25 However, it's important to note a couple

1 of things about this patient. The patient entered the
2 study with an abnormal bilirubin. The upper normal
3 limits were 1.3. This patient entered with 1.4, and
4 gradually crept up during the course of the study to
5 approximately two. However, no transaminase
6 elevations occurred, and during continued therapy with
7 tasosartan that patient's bilirubin actually returned
8 to his baseline of 1.4 on therapy.

9 So it's not clear to me that that was
10 something that was completely related to tasosartan,
11 and in fact, the patient was again asymptomatic.

12 DR. KONSTAM: But that was one of the
13 discontinuations?

14 DR. RIGGS: One of the discontinuations
15 that the FDA was -- we listed discontinuations for
16 transaminase elevations.

17 DR. KONSTAM: Right. So why was that
18 patient discontinued? That patient was discontinued
19 because of or reportedly because of an elevated
20 bilirubin?

21 DR. RIGGS: Right, which had returned back
22 to his baseline before the patient was discontinued.
23 So it's not --

24 DR. KONSTAM: So at the time of
25 discontinuation the bilirubin had returned back to

1 that patient's own baseline?

2 DR. RIGGS: Yes.

3 DR. KONSTAM: Okay, and the other two
4 patients?

5 DR. RIGGS: One patient -- could we have
6 Carousel B, Slide 37? Thank you.

7 One patient had right lower quadrant pain.
8 This was a woman who had watery diarrhea in
9 association with this pain.

10 The third patient reported asthenia, which
11 was one of the most commonly reported study events
12 that we had in our entire database.

13 The open label patients discontinued for
14 a variety of reasons, and I think it's important note
15 that the second patient on the list there for the open
16 label studies actually didn't discontinue, but
17 completed the study according to the investigator.

18 CHAIRPERSON PACKER: Udho.

19 DR. THADANI: Yeah. There's also -- I
20 think the FDA review suggested that there were a total
21 of 68 discontinuations as opposed to your -- I realize
22 you gave us three. So there must have been some more
23 on the open label discrepancies. You said 58, right?

24 DR. RIGGS: Yes. I'm sorry. What was the
25 request of your question?

1 DR. THADANI: The total discontinuations
2 according to FDA were 68.

3 DR. RIGGS: Yes, and that includes these
4 listed on this chart.

5 DR. THADANI: These?

6 DR. RIGGS: Yes.

7 DR. THADANI: So all of them are listed
8 here?

9 DR. RIGGS: Yes, yes.

10 DR. THADANI: The other issue is that
11 looking at the database, it seems like when you
12 combine the drug with hydrochlorothiazide, the
13 incidence of LFT abnormalities goes up a bit more.

14 DR. RIGGS: Right.

15 DR. THADANI: So is there an interaction
16 of the two drugs? Because those are commonly used
17 two drugs because some patients have no control on
18 blood pressure, one, and you're going to add a very
19 cheap drug, hydrochlorothiazide. So what's the
20 significance of that interaction on the LFT
21 abnormalities?

22 DR. RIGGS: We actually did a formal PK
23 study, and as far as I know, there was no drug
24 interaction, but I'll ask Dr. Phil Mayer of our
25 Pharmacokinetics Group to comment on that.

1 DR. MAYER: Phil Mayer, Clinical
2 Pharmacokinetics.

3 There was no clinical PK interaction
4 between tasosartan and hydrochlorothiazide in a
5 straightforward drug interaction study.

6 DR. THADANI: So why does the LFT
7 abnormalities goes to several fold?

8 DR. RIGGS: I think that's a difficult --

9 DR. THADANI: Is there an explanation?

10 DR. RIGGS: I think that's a difficult
11 question to answer. Hydrochlorothiazide in and of
12 itself can cause transaminase elevations, and maybe
13 Dr. Maddrey or Dr. Zimmerman would like to comment
14 further.

15 DR. ZIMMERMAN: Liver injury with
16 chlorothiazide is very rare. There are one or two
17 cases in the old literature, but with all of the
18 widespread use it's very rare you can incriminate it.

19 I can't speak about enzyme elevation per
20 se, but overt injury has been very rare.

21 DR. THADANI: But the enzymes do go up
22 quite a bit more, and if you believe enzyme release is
23 some hepatic injury, whatever it may be, so the
24 combination is doing something more. Is it just
25 unique to this, or is it unique to all the other

1 similar AT1 receptor blockers?

2 There must be data on other drugs as well,
3 right?

4 CHAIRPERSON PACKER: Udho, I guess before
5 -- what are you referring to when you say there is --

6 DR. THADANI: I think it was provided by
7 the FDA tables in which the level goes up more
8 percentage-wise to about four rather than 1.2 percent.

9 CHAIRPERSON PACKER: In the general --

10 DR. THADANI: In the combination.

11 CHAIRPERSON PACKER: In the studies or in
12 the individual patients?

13 DR. THADANI: In the studies.

14 DR. RIGGS: This is in the open label
15 studies.

16 DR. THADANI: Open label.

17 DR. RIGGS: Which is further confounded --

18 DR. THADANI: Sure.

19 DR. RIGGS: -- by longer duration of
20 therapy and other issues as well.

21 DR. THADANI: No, I'm not saying that this
22 drug in open label studies has a problem.

23 DR. RIGGS: Sure.

24 DR. THADANI: But is it unique to just
25 this particular combination with the AT1 receptor plus

1 hydrocholorthiazide or other agents, too? And I just
2 couldn't help noticing. Although nobody can --
3 necessarily from liver failure per se, but incidence
4 goes up.

5 DR. RIGGS: Right. I think it depends on
6 the individual compounds.

7 CHAIRPERSON PACKER: Rob.

8 DR. CALIFF: I have a couple of questions
9 both for you and for Ray. Let me just say I think
10 you've done a great job of clearly presenting the
11 data, but I'm maybe confused about a couple of what
12 the rules are when you go in and talk with Ray about
13 how to do these studies.

14 But first, just one data derived question
15 that I want to make sure we have straight. What you
16 presented implied that if you correct for the number
17 of times you looked at LFTs that there really is no
18 difference among the sartans, and I'm interested in
19 whether the FDA has independently done that type of
20 analysis.

21 Is that a valid conclusion for us on the
22 panel to take home in these deliberations?

23 DR. LIPICKY: Well, we've not done that
24 analysis, and I believe that as you look through the
25 memo that Dr. Fenichel presented, there was a table

1 there that lists the frequency of LFT determinations
2 in a variety of trials. That's pretty accurate, but
3 it probably is not 100 percent right. Maybe 99.9
4 percent, but it's pretty right.

5 And what you see is that there were some
6 programs that were not as infrequent as others, but in
7 fact, tasosartan was more frequent than them all. I'm
8 not sure that you can conclude that the incidence of
9 liver enzyme abnormalities was due to the frequency
10 with which blood samples were obtained, and Dr. Chen
11 is standing at the microphone back there who has one
12 other comment that would address that very point.

13 CHAIRPERSON PACKER: Dr. Chen.

14 DR. CHEN: Shaw Chen, FDA reviewer.

15 About the impact of frequency of the
16 monitoring on the dropout rate, I think there's
17 disagreement within the agency about how we should
18 look at the open label study, but in the open label
19 study the frequency of monitoring is every three
20 month, not every week, and the dropout rate there is
21 two to three percent, and they're very consistent
22 across three open label studies, and you can argue
23 that's because of investigator's preference or bias or
24 any single site concentration.

25 Thank you.

1 DR. RIGGS: Could I make one
2 clarification?

3 While what he said is true for the
4 maintenance part of the open label studies, we
5 actually did have frequent monitoring during the
6 titration period so that patients were monitored every
7 week until they got to a stable dose. So they still
8 had the opportunity to be dropped from the study or to
9 have an elevation noted because of the frequent
10 sampling early on.

11 DR. CALIFF: So right now then the
12 sponsor's assertion is that it really is the frequency
13 of sampling that accounts for the apparent difference
14 in incidence of elevation, and we don't really have
15 independent confirmation by the FDA. Is that -- have
16 I got that correct on both parts?

17 DR. LIPICKY: I think you have that
18 correct, but I think that the general feeling within
19 our community is that it could be a factor, that is,
20 frequency of sampling could be a factor, but doesn't
21 seem to be exclusively the factor.

22 DR. CALIFF: Well, that gets into my next
23 two questions, which I'll try not to drone on about,
24 but I think it might be useful to understand for this
25 particular program and in general. How is it that one

1 decides to do blood tests every month or every, you
2 know? It almost seems like a self-defeating practice
3 because you end up looking at things so often that you
4 never find out what would have really happened had the
5 drug been used in practice because you see all of
6 these things, and people behave differently in the
7 course of the study than they would in practice.

8 Wouldn't it be better to measure less
9 frequently, let some people get jaundiced, and really
10 find out what the drug does before it gets on the
11 market?

12 Ray, I'm interested. Are you telling
13 people to measure blood samples once a month as a good
14 way of doing clinical trials?

15 DR. LIPICKY: I don't think -- well, to
16 the best of my knowledge, we don't tell people how
17 frequently to measure laboratory stuff. It's up to
18 them to do the frequency that they wish. We would not
19 object to once a week, and we don't object to once
20 every three months. I mean you basically have seen
21 the table laid out in Dr. Fenichel's review.

22 So I don't think we recommend. If it were
23 up to me, I guess I don't see any reason to not
24 collect frequently because if you believe the sponsor,
25 we wouldn't be having this sponsor today had they not,

1 and I think that this is a useful meeting.

2 (Laughter.)

3 DR. CALIFF: So let's follow the logic of
4 that one.

5 DR. LIPICKY: So, yeah, yeah. I'd rather
6 not actually.

7 So if one were going to do very large
8 scale morbid/mortal trials, I believe -- and be really
9 looking at things like death and irreversible harm and
10 so on and so forth, then I think that the other kind
11 of searches for things become relatively immaterial
12 because those are the things that are of real import.

13 In these kinds of programs, in fact, this
14 kind of search is not crazy to do because it may be
15 what we're looking for are signals. So I'm perfectly
16 comfortable with things being monitored more
17 frequently and where, in fact, one has the opportunity
18 to do what we're doing today and figure out whether
19 there is a signal there as a consequence of that
20 monitoring.

21 I guess I don't have any real evidence
22 that I can present that what I have just said works
23 any more than I have evidence to present that doing
24 the alternative would work better.

25 DR. CALIFF: Okay. My last question is

1 related, and I would like to hear from the sponsor
2 what their thought process is about both these issues.
3 I mean is it really the case that for chronic diseases
4 with morbid and fatal endpoints that you advise people
5 to do 12 week studies as a way to find out whether the
6 treatment is beneficial to the patients that we're
7 trying to treat? Is that the advice that you're
8 currently giving people when you go to meetings with
9 them before they design the studies?

10 DR. LIPICKY: No.

11 DR. CALIFF: It's not the advice?

12 (Laughter.)

13 DR. CALIFF: Well, they seem to all be
14 doing. So I'd at least be interested in hearing the
15 sponsor's perspective on why the frequent sampling and
16 why such short studies for such an important disease.

17 CHAIRPERSON PACKER: Well, there's two
18 separate questions, and they have two totally
19 different implications.

20 DR. RIGGS: I'll take the last one first.
21 As we try to do drug development programs in any
22 particular indication, we pay a lot of attention to
23 the general guidelines provided by regulatory agencies
24 worldwide, and the length of the studies really are
25 designed to meet those guidelines, and so that's how

1 we decide generally how long the core program studies
2 will be.

3 I think if you want to answer morbidity
4 and mortality questions, those are usually not
5 required in the context of a drug development program
6 for hypertension. So those are typically done later
7 and would obviously be much longer and much larger.

8 CHAIRPERSON PACKER: There are guidelines
9 that specify the duration of antihypertensive trials?

10 DR. RIGGS: There are actually guidelines
11 recently issued in Europe that do specify the length
12 of the trials, and they do require now some longer
13 term studies. You have to do some that are up to six
14 months in controlled situations.

15 CHAIRPERSON PACKER: And in the U.S., the
16 status of the antihypertensive guidelines is?

17 DR. LIPICKY: Draft.

18 (Laughter.)

19 CHAIRPERSON PACKER: Okay.

20 DR. CALIFF: Can we at least hear the
21 thought process on why so frequent, the monitoring?

22 DR. RIGGS: The question is why did we do
23 such frequent monitoring, and I actually wish that I
24 could blame Dr. Lipicky for this, but I can't.

25 (Laughter.)

1 DR. RIGGS: I can only blame myself as the
2 medical monitor. Early in the program when we started
3 this drug development, there were no approved
4 angiotensin II antagonists, and there really was not
5 a lot in the literature to tell me the safety profile.
6 Merck was clearly the leader in the class, and they
7 were being very close-mouthed about publications. So
8 it was very difficult to glean information.

9 Our preclinical profile was clean. I've
10 told you that. In our Phase 1 studies, we found one
11 patient who had been treated with 200 milligrams for
12 ten days who three days after the last dose of
13 tasosartan had an elevation in transaminases that was
14 about four times the upper normal limits.

15 That was the first report we had, and
16 honestly didn't know whether that was something that
17 I needed to pay a lot of attention to, whether it was
18 going to ultimately turn out to be something like the
19 ACE inhibitors that occasionally cause cholestatic
20 jaundice and death or whether this was something that
21 I didn't need to pay attention to.

22 Being a very conservative medical monitor
23 and someone who actually did not want to do harm to
24 patients while developing an antihypertensive agent,
25 I chose to be very careful with monitoring.

1 One may say that that was a mistake, and
2 maybe I shouldn't have done it, but I did it anyway.

3 CHAIRPERSON PACKER: As a follow-up,
4 having now had this experience, what would you do if
5 you had to do it all over again?

6 (Laughter.)

7 DR. RIGGS: It would depend on what I saw
8 and how concerned I was, and if I was concerned, I
9 probably would do the same thing all over again, and
10 I would probably live to regret it.

11 CHAIRPERSON PACKER: Udho.

12 DR. THADANI: I think, you know, I can
13 compliment you that you did it, weekly monitoring, and
14 you picked up something which we are not aware with
15 the other medications might happen, too.

16 It's very similar even in the hard
17 endpoints like acute myocardial infarction based on CK
18 release. It's a moving target twice normal, three
19 times post angioplasty, five times surgery, and if you
20 were to do it every day, I'm sure one would pick up
21 more numbers even in those studies.

22 That's not the issue. One other issue I
23 want to address now is the interactions. I asked
24 earlier, and I don't think you're going to show
25 anymore so I'm going to ask you about one other

1 important interactions with this drug to CYP3A enzyme
2 system.

3 And a lot of patients now are going to get
4 therapy not only for lowering the blood pressure,
5 which reduces the stroke rate, but also for
6 abnormality in lipids, and I think we recently
7 approved a drug and that is coming into light, and I
8 saw there was one case of regular myeliasis on similar
9 staten, which could be due to the staten.

10 But given the factor with the interaction
11 for a subset for CYP3A, which includes simvastaten,
12 probably the other drugs, is there anymore database
13 than what is available now? And because looking at
14 the Possicor (phonetic) story, the levels went up six
15 to eight times in the post marketing database.

16 So I'd like some data on that or if you
17 have any data.

18 DR. RIGGS: Yes, I would like to ask Dr.
19 Phil Mayer again to comment on drug interactions.

20 DR. MAYER: Actually I need Carousel Y,
21 Slide No. 34.

22 Since you had a question earlier about
23 drug interactions to these, this is our nearly
24 complete drug interaction program with nine drug
25 interaction studies that were performed here listed on

1 the top of the slide.

2 In these cases, the results are that there
3 were no major pharmacokinetic interactions, and in the
4 case for additive therapy with other antihypertensive
5 agents, there was actually an additive lowering with
6 blood pressure.

7 DR. THADANI: But is the database enough?

8 DR. MAYER: Hold on one second. This is
9 much fancier than what I'm used to dealing with.

10 These are actually the areas under the
11 curve to show you the specific data for each of those
12 drug interaction studies. For tasosartan on the left-
13 hand side and enoltasosartan, the major active
14 metabolite, on the right-hand side, these are AUC
15 measures for each of the drugs. On the left would be
16 the drug alone or -- I'm sorry -- tasosartan alone,
17 and on the right-hand side of each of these columns
18 would be the drug with concomitant therapy.

19 The simvastatin that you're referring to
20 is the last drug interaction study here, Number 139,
21 but if you do actually look across the table here, you
22 can see that there's actually no difference for either
23 the tasosartan with or without the concomitant
24 therapy, for tasosartan on the left and enoltasosartan
25 on the right.

1 There's only one place that there's the
2 statistically significant difference, which was in the
3 nicardipine drug interaction study, and in that case
4 even though there's a lower level of enoltasosartan,
5 there's actually additional lowering of blood pressure
6 with the two.

7 DR. THADANI: What you are showing here
8 are the drug levels of the compound under discussion,
9 but what about the drug levels of simvastatin because
10 that's the relevant issue because they went up? These
11 are drug levels?

12 DR. MAYER: That's correct. These are
13 drug levels of tasosartan and its metabolite.

14 In each of these studies except for the
15 simvastatin and the ibuprofen, we measured the other
16 concomitant drug, but in the simvastatin study, we did
17 not measure that because we were more interested in
18 the effect of simvastatin on tasosartan and
19 enoltasosartan, that 3A4 conversion that we have here,
20 and we did not measure simvastatin concentrations from
21 a pharmacokinetic perspective for that study.

22 DR. THADANI: I think that would be
23 probably a relevant story, you know, what we have
24 heard from the previous story because if it goes up
25 high you could make the drug cheaper by reducing the

1 rows one-fourth or stopping that issue.

2 Another important interaction is the P450.
3 I know you picked up atenolol. What about metropolol,
4 which is more metabolized?

5 DR. MAYER: No.

6 DR. THADANI: Because that's a commonly
7 used drug, too.

8 DR. MAYER: For actually the 3A4 drug
9 interaction study that we chose to perform was
10 simvastatin because of a more higher rate of
11 concomitant therapy.

12 We also looked at ibuprofen for looking at
13 a 2C9 interaction, but the simvastatin drug
14 interaction study that was chosen specifically for 3A4
15 isozyme.

16 CHAIRPERSON PACKER: Dan.

17 DR. RODEN: Let me just ask this in a
18 different way. What enzymes are required for the
19 biotransformation of this drug and its metabolites,
20 and does this drug or its metabolites inhibit the
21 function or enhance the function of any of the known
22 metabolic pathways of other drugs?

23 DR. MAYER: Okay. Actually if you want to
24 move the carousel back to Slide No. 1, that same
25 carousel, is the metabolic scheme.

1 Okay. What you'll need to focus on is the
2 middle of or central part of the slide here with
3 tasosartan as it's metabolized by 3A4 and 2C9 to
4 hydroxytasosartan, which is a short-lived metabolite;
5 then enoltasosartan again by 3A4 and by 2C9 to
6 hydroxyenoltasosartan.

7 These are the isozymes that are involved
8 in studying this. We've looked at effects of drugs on
9 these steps, that is, 3A4 and 2C9, looking at various
10 inhibitors, such as simvastatin, ibuprofen,
11 erythromycin, but we have not looked specifically at
12 the reverse, if that was what your question is also,
13 whether tasosartan would inhibit these isozymes.

14 DR. RODEN: So is tasosartan a 3A4
15 inhibitor?

16 DR. MAYER: No, we don't believe so.
17 There's no evidence that I've seen clinically or in
18 any of our drug interaction studies, but we don't have
19 specific in vitro data for examining the IC50 of
20 tasosartan on various other substrates.

21 DR. RODEN: And you said you did clinical
22 studies with erythromycin and simvastatin?

23 DR. MAYER: It hasn't been with -- the
24 simvastatin was a clinical study, but the erythromycin
25 was an in vitro study to look at the interaction of

1 erythromycin on tasesartan.

2 DR. RODEN: Right. So the simvastatin, is
3 that an inhibitor of 3A4?

4 DR. MAYER: Yes, yes.

5 Let me go to the next slide here actually.
6 I can show you just --

7 DR. RODEN: Is that a very potent
8 inhibitor of 3A4? I mean, I thought that the best
9 probe if you want to ask the question clinically is to
10 use ketoconazole or perhaps mofefrodil, but the
11 statens are not famous for being potent inhibitors of
12 that enzyme.

13 DR. MAYER: Yes.

14 DR. RODEN: They may be competitive.

15 DR. MAYER: Exactly. The in vitro drug
16 interaction studies that we've performed --

17 (Laughter.)

18 DR. MAYER: Thank you very much for your
19 concurrence with our in vitro program.

20 We looked at several --

21 DR. RODEN: I wanted to see it in vivo.

22 (Laughter.)

23 DR. MAYER: We ran several 3A4 inhibitors
24 in an in vitro study. Ketoconazole was without
25 question the most potent inhibitor. Erythromycin was

1 a little less potent. Simvastatin still had marked
2 inhibition of the first step. If you recall from the
3 tasosartan to hydroxytasosartan, that's a 3A4 step.
4 So there was some inhibition there. It was a poor
5 inhibitor, however, the second step, but if it
6 inhibited even the first you wouldn't get formation of
7 the enoltasosartan, the active metabolite.

8 And really just a judgment call based on
9 more frequent concomitant therapy, and the simvastatin
10 drug interaction study was the one performed from this
11 group.

12 CHAIRPERSON PACKER: Dr. Chen, did you
13 have a comment that you wanted to make as the medical
14 reviewer?

15 DR. CHEN: I'm sorry. This is not related
16 to the metabolic, but I just want to point out that
17 not all of the studies were frequently monitored. For
18 weekly monitoring of liver functions, about seven of
19 the 15 studies, and out of 68 dropouts, seven are from
20 those frequently monitored study. The rest are not.

21 CHAIRPERSON PACKER: Okay. Can we have a
22 -- is there any comment from Dr. Hung, the
23 biostatistical reviewer regarding any adjustments that
24 he or his colleagues may have made with respect to the
25 frequency of monitoring or the duration of the trials?

1 DR. HUNG: This is Jim Hung, FDA
2 statistical review.

3 The analysis has not been adjusted for the
4 frequency or duration. We do have a table for
5 individual studies. That was in appendix somewhere.

6 CHAIRPERSON PACKER: Okay. Can we proceed
7 with Dr. Morganroth's presentation?

8 DR. MORGANROTH: Thank you very much, Dr.
9 Packer, ladies and gentlemen.

10 I only have two slides and actually a very
11 brief comment because I am a cardiologist and, like
12 Dr. Maddrey, know the difference between trying to
13 address cardiac versus hepatic issues. So my comments
14 will be very brief and relate really to the issue of
15 how does one review a laboratory safety database.

16 One does what was done in this program, to
17 look for those laboratory parameters that appear to be
18 different on the active agent being studied compared
19 to the placebo or to controls, and when you identify
20 laboratory abnormalities, the question, of course,
21 raised is: what's their clinical significance?
22 Should those levels of abnormalities in any particular
23 parameters impact on approvability because it changes
24 the risk-benefit ratio, or should it impact on
25 labeling as how one describes the drug in terms of

1 warnings or frequency of physician related actions?

2 In this particular case, when you have
3 laboratory abnormalities and want to make those
4 decisions, wouldn't it be nice if we had prospective
5 trials that told us what these markers really meant in
6 the clinic after a drug is approved? And
7 unfortunately we rarely do have such information
8 prospectively.

9 So the next step is to do what? To ask an
10 expert. So we call on the liver experts and ask them,
11 you know, "You have a lot of experience in this, but
12 if you think about it a while, if you study the
13 disease entities and you have experience in other drug
14 databases, what do you make of all of this data? Is
15 it something we should be concerned about or not?"

16 And that's where by definition it almost
17 has to be left, except in this particular case, though
18 we've heard from Dr. Maddrey and others that the
19 tasosartan database, at least in his opinion, from
20 what I understood from his presentation, does not
21 appear to have a strong enough signal to make it of
22 concern, wouldn't it be nice if we had some actual
23 data to look at?

24 And, in fact, thanks to Dr. Fenichel and
25 his colleagues at the FDA, they've put together the

1 background piece you've all received. I took the
2 opportunity to look at that data and add a little bit
3 to it from a few SBAs and produce one slide that I'll
4 show you, if we could have that slide, which is to
5 take just the data you have in front of you, plus,
6 again, a little supplemental information.

7 I don't attest to the validity of any of
8 these specific numbers because they're just taken out
9 of those papers. I'm frankly uncertain in the
10 selacryn line. I'm particularly not certain of some
11 of these numbers because that SBA was pretty thin when
12 I looked at it.

13 But what I tried to do was to say what's
14 the issue here. The issue is do we put a drug out on
15 the market and be surprised. No. So we want to
16 figure out a way not to release a drug and be
17 surprised after marketing with something that's going
18 to either cause that drug to be withdrawn from the
19 market or, secondarily, to cause a major change in
20 labeling that gets a lot of energy in a lot of people.

21 And there are four drugs in that group in
22 your handout, and I have added one, selacryn, and
23 there's others, oraflex and others, that could be
24 added that turned out after they were released by the
25 FDA to have some post marketing event. A couple of

1 them, dilevilol and selacryn, were bad enough that the
2 drug had to be withdrawn from the market. Voltaren
3 and rezulin have had, let's say, labeling changes of
4 concern.

5 There were three drugs that I've listed
6 here, or actually two drugs plus a class of drugs
7 called the sartins that are in green: tacrine,
8 mevacor and sartan, that so far seem to be okay since
9 they've been on the market. It doesn't appear to be
10 a regulatory issue that's been raised. That is,
11 there's not a lot of deaths.

12 And I've added all of the sartans together
13 though. As you know, almost all of that is losartan.
14 The majority of it is the losartan database.

15 Now, all the rest of these four columns is
16 really the issues that are in the questions to this
17 panel, and what the really boil down to is: are there
18 any surrogates that you can look at in a clinical
19 database to predict what's going to happen to a drug
20 after it goes on the market relative to this liver
21 function problem?

22 And the first surrogate is what did the
23 preclinical data show, and I think we've had adequate
24 information from the experts, and it's clear that the
25 preclinical histology doesn't appear to be very useful

1 in terms of predicting what's going to happen. Being
2 negative doesn't appear to be any different than being
3 positive according to this retrospective meta
4 analysis-like approach.

5 How about the frequency of transaminitis
6 using the 3X level? Here you can see that there once
7 again appears to be no striking differences between
8 the yellow and the green drugs. Tacrine was already
9 pointed out to be a pretty interesting one that has
10 this huge 25 percent incidence of transaminitis that
11 resulted in the post market situation to no important
12 adverse events.

13 This, of course, is not always the case
14 because a drug like selacryn, I think, the 23 percent
15 may just be abnormal. I couldn't tell by looking at
16 the SBA, but it seemed to have a fair enough high
17 frequency, but even the 25 in the green group doesn't
18 seem to be very important. So I don't think this
19 surrogate is very strong, if you will.

20 How about discontinuation rates? We've
21 heard that that's very subject to investigator bias
22 and what they've been told or how frequently they were
23 monitoring in various areas. We've heard in the
24 tasosartan base that a third of the discontinuations
25 occurred in one European center that only produced two

1 percent of the data. So I'm not sure how important
2 theoretically even this discontinuation rate issue is.

3 But as you can see, there again doesn't
4 appear to be any relationship between the numbers and
5 the events that have occurred post marketing.

6 I think that the simple conclusion that
7 I've reached is that if you have liver deaths in a
8 preapproval NDA, the likelihood is you'll probably get
9 liver deaths after you put the drug on the market when
10 you expose to even more people, and I guess that isn't
11 too profound a statement, but unfortunately the way I
12 look at this data is that's the only thing we're left
13 with.

14 If you want to know who's going to get
15 liver deaths after you get on the market, you only can
16 be pretty certain if you had liver deaths before you
17 put it on the market, and there isn't appearing to be
18 a signal. Even these asterisks have to do with what's
19 called serious, and I'm not sure what that means, but
20 I think it means jaundice at least in most of these
21 cases, and I'm not even sure that that signal, which
22 is what I would have hoped would have been the best
23 surrogate listening to Dr. Maddrey; someone who gets
24 icterus and what have you should be enough to predict,
25 but so far that doesn't appear to be the case, at

1 least for tacrine and the one valsartan case that you
2 know about that's in that handout.

3 So in summary, if you don't know how to
4 deal with this adjusted tasosartan issue, because if
5 you believe the sponsor and you assume that everything
6 goes away when your frequency of sampling adjusts,
7 then there's no issue at all. I mean there is no
8 issue.

9 But I want to make the assumption that it
10 doesn't because, you know, otherwise it's not an
11 interesting question. So let's assume that tasosartan
12 does, in fact, increase the frequency in
13 discontinuation rates because no one can absolutely
14 prove today that the sponsor is right. What does that
15 mean?

16 Well, I think the predictability of that
17 is relatively low. So if we apply this kind of
18 concept to this database, the fact that tasosartan had
19 a negative preclinical work-up to me doesn't have much
20 predictability of what's going to happen if it's
21 placed on the market.

22 The fact that it has a higher than other
23 sartans, if you assume that, which we're going to
24 assume for the sake of this discussion, is higher
25 discontinuation rate and percent LFT elevation. To me

1 those surrogates, according to the experts and
2 according to what we've seen in this kind of analysis,
3 does not appear to be highly predictive.

4 The fact that they haven't in 4,000
5 patients had any liver deaths would make me fairly
6 comfortable to predict with a fairly high likelihood
7 of being correct that there isn't going to be a lot of
8 liver deaths or presumably any liver deaths if the
9 data on the previous slide were correct.

10 But, frankly, I don't know the answer to
11 that question, and it seems to me that though I don't
12 think tasosartan is that different than the other
13 sartans, and I think there's a low chance of really
14 important liver deaths post market, that the only way
15 to find out is to measure it, that is, to put the drug
16 on the market and to have an important post marketing
17 surveillance study as the sponsors plan and look
18 carefully at that issue, and I think that's probably,
19 and I think that's probably true for every drug that
20 has these issues of surrogate changes without liver
21 deaths in the preclinical area.

22 Thank you very much.

23 CHAIRPERSON PACKER: Udho.

24 DR. THADANI: On the previous slide you
25 showed, you said probably the only way to predict is

1 deaths, and there was no death in rezulin.

2 DR. MORGANROTH: If we can flip that slide
3 up, please.

4 DR. THADANI: It's on your slide with the
5 summary.

6 DR. MORGANROTH: Yes, I remember, right.

7 DR. THADANI: I don't know if that comment
8 even holds because I know in the other groups there
9 were, and yet in the post marketing database there
10 were some deaths. So I really don't know myself.

11 DR. MORGANROTH: Well, my --

12 DR. THADANI: -- zero out of 4,000 or
13 2,700.

14 DR. MORGANROTH: Right. My only comment
15 is just the one you're making. You asked why did
16 rezulin have no deaths out of 2,500 patients and then
17 have deaths that occurred post marketing.

18 Well, I believe we should ask the liver
19 experts who are more familiar with this issue, but I
20 believe those cases so far of death have been
21 sporadic, if you will. There's only a handful of
22 them, but an important concern to change labeling, not
23 enough to take it off the market.

24 So when you have sporadic deaths and you
25 don't know if they're going to be persistent and real

1 and really change risk-benefit, then you change
2 labeling. You don't take it off, and I think that
3 zero out of 2,500 wouldn't have given me as much
4 comfort as zero out of 4,000 or 5,000, you know, for
5 this issue, assuming that these deaths are related to
6 rezulin in the post market area.

7 All I made was a very simple minded
8 statement that probably isn't objectionable that says
9 if you have liver deaths premarketing, you know, in X
10 number of patients, then chance when you put it into
11 a large number, you know, huge times X, that you're
12 likely to also have liver deaths, and if you don't
13 have liver deaths, it doesn't mean you won't, but the
14 only way I think you can tell is to measure.

15 DR. THADANI: I think that's the problem
16 because you probably need 50,000 patients where the
17 incidence is going to be so low of hepatic failure.
18 So one can never be certain until you have gotten that
19 database.

20 DR. MORGANROTH: Totally agree.

21 CHAIRPERSON PACKER: Marv.

22 DR. KONSTAM: You know, Joel, I agree with
23 a lot of what you're saying, but I draw a slightly
24 different conclusion. I mean, I conclude that, you
25 know, you just can't conclude anything from the NDA

1 data sets as they're presently being constructed
2 because even if you look at those drugs that have
3 appeared to cause problems post marketing, it's for
4 the most part a pure play of chance whether or not
5 there were a couple of deaths in that data set, in
6 those data sets, given the number of patients studied.

7 You know, the difference between zero out
8 of 2,500 versus one out of 3,200 versus four out of
9 2,290 is pure play of chance.

10 DR. MORGANROTH: My comment would be I
11 hope I didn't say anything different than you just
12 said because --

13 DR. KONSTAM: Right.

14 DR. MORGANROTH: -- I didn't mean to. If
15 I did, all I'm saying is that if you don't have deaths
16 like in the tasosartan database, that doesn't mean you
17 won't when you go on the market. All I'm saying is if
18 you do have deaths, then it seems to me that's the
19 only thing unfortunately surrogate-wise, if you
20 will -- it's not even a surrogate -- that you're going
21 to have them afterwards.

22 So it gives me no comfort that taso is
23 clean, except that as like other sartans, you know,
24 there hasn't been any problem like in other sartans,
25 depending on if you believe the adjustment is not

1 going to be a great change. The only way to tell is
2 to measure it.

3 DR. KONSTAM: That's right, but I guess
4 the challenge is then to ask ourselves: are there
5 circumstances in which we do an adequate trial
6 preapproval to document to satisfy ourselves whether
7 or not there is a real mortality associated safety
8 issue here or not, and that's really, I think, the
9 question as far as I can tell.

10 And then the question then becomes, you
11 know, when do you decide to do that, and are there
12 signals that you can look at in terms of laboratory
13 findings or something else that triggers you to say,
14 "Well, this is a case where we should go and look and
15 do a real trial of 10,000 patients or what have you in
16 order to answer that question."

17 And I think that's really the challenge.
18 I'm not sure I see the answer to it. I don't see the
19 answer to it in the data that you've presented because
20 what you're saying is that just forget the LFTs
21 because they're not going to help in terms of making
22 that decision.

23 I mean, is that the point that you're
24 making?

25 DR. MORGANROTH: Yeah, I think so, and let

1 me give you an analogy to something we all know
2 better, and that is QT interval in Torsades. It's not
3 that different an issue, is it? Because let's say you
4 see a very small, three millisecond increase in the
5 QTC and there's no Torsades in a 4,000, 5,000, 6,000
6 database, and I'm picking a QT, you know, that occurs
7 in some drugs, but not the ones that are obvious, that
8 are, you know, much longer, 20 millisecond mean
9 changes with five, six percent over 500. So there's
10 a signal.

11 I'm saying there is a signal, but the
12 signal is very weak, and you raise the same question.
13 How do you tell whether, you know, that is going to be
14 a problem when you put the drug on the market, when
15 there is just a weak signal but nothing else, and I'm
16 making the analogy that this is a weak signal using
17 the experts to guide me in that, frankly, because I
18 don't know if it's weak or strong. It seems weak, and
19 they agree it's weak.

20 And my answer would be, like you said,
21 you'd have to decide to do a study. Now, you do a
22 study premarketing. Well, I think for an incidence of
23 zero out of 4,000 and you're looking for -- take
24 rezulin. I don't know what the number of deaths are,
25 maybe three or six or whatever, something, a handful

1 like that in hundreds of thousands, you know, of
2 patients worldwide that have been taking that drug, if
3 not millions for all I know. Maybe Dr. Maddrey could
4 comment.

5 The size of that study would have to be
6 what? You know, 100,000 or more to have any point
7 estimate reliance and probably closer may be to a
8 million patients, impractical, impossible.

9 And the same issue for QT. I mean, that's
10 why no one tries to do that. So as Dr. Maddrey has
11 suggested, the only way to -- and now I'm
12 suggesting -- the only way to really tell is you've
13 got to put the drug out on the market with the feeling
14 that the signal is weak enough that you're not
15 concerned and that it doesn't appear to be different
16 from other drugs in a class that also haven't been a
17 problem, and you do it post surveillance. Otherwise
18 how would you ever find that information, whether it
19 causes liver failure or not, you know, real incidence
20 of liver failure?

21 CHAIRPERSON PACKER: Dr. Fenichel.

22 DR. FENICHEL: Yeah. I'm not speaking to
23 disagree really with Dr. Morganroth's general
24 conclusions because I really don't know what to think,
25 which is why we, of course, brought this topic to this

1 meeting, but I do want to update his slide of the
2 green and the yellow drugs.

3 The other sartans now have approximately
4 13 serious liver injury cases with two deaths reported
5 in the United States out of something like a million
6 or two million patient-years of experience. So on the
7 first hand, because there are deaths post marketing,
8 that makes the class a yellow class instead of a green
9 class, I suppose.

10 On the other hand, it says -- it speaks
11 very much to what Dr. Morganroth has said and what
12 other speakers have said, that in order to detect
13 events of that incidence, if deaths in trials are the
14 only way to detect deaths in marketing of that
15 incidence, then the trials, indeed, have to be on the
16 order of tens of thousands of patients, and if that's
17 not acceptable, then we must find another signal or we
18 must look to after marketing studies of some
19 relatively unprecedented size.

20 CHAIRPERSON PACKER: So just for the
21 record, thus far, Bob, we have 13 cases approximately?

22 DR. FENICHEL: Yes, I think that's right.
23 I should say, you know, my memo of background
24 information for the Committee has been alluded to
25 several times. It was prepared in a way which does

1 not allow it to be publicly distributed yet, although
2 it could be with some fairly simple redaction, and I
3 apologize to the audience that that was not
4 contemplated before the meeting.

5 So I can't say that I know the detailed
6 data very well for each of these things. If Dr. Roger
7 Goetsch is here from Epidemiology at FDA or Susan Lu
8 also from Epidemiology, it was they who prepared some
9 of this post marketing surveillance data and may be
10 able to speak to the specific cases.

11 But that's correct. It's mainly losartan
12 data just because it's the market leader, and there's
13 some contribution from valsartan. Irbesartan is
14 approved, as is known, has been approved so recently
15 that it does not contribute.

16 CHAIRPERSON PACKER: Now, obviously I
17 would imagine that it's very difficult to assess
18 actual risk since there may be -- one is fairly
19 confident about the denominator, two million people
20 exposed, but one is not necessarily confident about
21 the numerator because not all cases are necessarily
22 reported.

23 DR. FENICHEL: I think most people would
24 say that in the case of major liver failure, liver
25 failure requiring transplantation as shows up in the

1 triglitazone database, rezulin, liver failure leading
2 to death which there are two cases here, I think the
3 reporting rate on that is probably pretty good.

4 Liver disease, simply meaning jaundice,
5 which may be looked for with some reliability in
6 clinical trials, I have no idea what the reporting
7 rate on that is, and I'm sure it's quite low.

8 CHAIRPERSON PACKER: Okay. Barry.

9 DR. MASSIE: The way it's phrased, it
10 sounds like there's at least some contribution from
11 both valsartan and losartan to that 13 cases.

12 DR. FENICHEL: I think that's correct.
13 Yes, that is correct.

14 DR. MASSIE: Okay. So we have two sartans
15 that cause serious liver toxicity?

16 DR. FENICHEL: Probably. I mean there is
17 always a question of whether they are really causal,
18 but in some of these cases, they are, as I recall,
19 positive rechallenges with fairly convincing
20 sequences, and so forth. So I think that, yes, it's
21 pretty convincing.

22 CHAIRPERSON PACKER: Bob, before you leave
23 the microphone, at what rate of reporting does the FDA
24 begin to say that something similar to actions taken
25 for rezulin should be considered? In other words,

1 what is the threshold?

2 It is obviously not one case, and it's
3 obviously more than one. What's the threshold? When
4 does a database become sufficiently disconcerting that
5 you say that the public should know more than they
6 know or that labeling should be changed to reflect
7 this knowledge?

8 DR. FENICHEL: Well, the answer is there
9 is no fixed rule, but certainly in the case of bizarre
10 occurrences, angiosarcoma of the liver, vaginal
11 adenocarcinoma, it doesn't take very many cases to
12 associate something with a drug, and no matter how low
13 the frequency, those things get into labeling.

14 Other situations like an increase in liver
15 failure, which of course occurs in the population,
16 what we believe with troglitazone, as I recall, the
17 relative risk of serious liver injury compared to the
18 background rate in the population of noninfectious
19 liver injury; the relative risk was something like
20 doubled or sextupled or something like that. It's
21 some small, but non-zero, you know, nonunitary
22 multiple.

23 Dr. Hal Davis is here, I know, from
24 Epidemiology and may want to remind me of what the
25 correct figures are, but those are sufficient to get

1 something into labeling for sure. Sometimes they are
2 sufficient to take relatively drastic action in terms
3 of "Dear Doctor" letters, and so on.

4 Sometimes things just ooze into labeling
5 with the next printing. It's really very hard to make
6 a general statement.

7 CHAIRPERSON PACKER: Because since you're
8 asking the Committee as to its advice about labeling,
9 the Committee is being asked about how to respond to
10 a database which at the present time has no serious
11 liver function abnormalities. So it's valid for us to
12 ask you how you respond to a database which has
13 serious liver function abnormalities, a post marketing
14 surveillance database.

15 So what I guess I'm confused about is how
16 many events do you think that it would take, 13 and
17 two, for you to say there's something more to it. I
18 know it's a really hard question. There's no
19 threshold, but at some point in time the frequency of
20 reporting may also, by the way, be heightened if
21 there's an awareness that there's interest in the
22 question.

23 This Committee meeting might, in fact,
24 foster that. So one might actually see some of the
25 reporting of these events go up after this meeting.

1 DR. FENICHEL: Well, you're asking for a
2 threshold for action as if there were one action.
3 There are multiple possible actions, and the several
4 questions deal with them really.

5 One could, on the basis of the available
6 data, decline to approve tasosartan pending some
7 additional study. One could approve it just flat out
8 with no different labeling from those of the other
9 sartans. One could approve it with a requirement,
10 with an understanding that some post marketing study
11 will be done. One could, on the basis of what is of
12 concern about tasosartan and what is known about the
13 other sartans, do something about the labeling of all
14 of the sartans.

15 So, yes, saying that tasosartan is like
16 the other sartans does not necessarily mean that it or
17 they get the current sartan labeling. There are many
18 possible outcomes recommended by the Committee, and
19 I'm not prepared to estimate a threshold for any of
20 them, let alone all of them.

21 DR. LINDENFELD: Bob, just before you
22 finish, do you have a rough idea from the cases you
23 reviewed of what the average duration of drug exposure
24 has been in the cases of liver failure? How long has
25 it taken, on the average?

1 DR. FENICHEL: It varies from drug to
2 drug. With dilevilol, which is the case I remember
3 best, the average was about two months. In the cases
4 of -- in the open label trials of tasosartan, the
5 liver enzyme abnormalities leading to dropout, that
6 dropout occurred as I recall after an average of about
7 140 days. I think that's a correct recollection.

8 Juan Carlos, is that right?

9 Yeah, that's right.

10 CHAIRPERSON PACKER: It's particularly
11 interesting because this is going back to what Rob
12 said earlier. If the vast majority of
13 antihypertensive placebo controlled trials are two to
14 four weeks in duration, occasionally six to eight, but
15 one is seeing LFT abnormalities as a safety issue at
16 two months, and even if one trial in an NDA, if one
17 does one trial for six months, this is according to
18 the European recommendations.

19 One has an amazingly small experience in
20 most antihypertensive drugs in the window of
21 vulnerability for this side effect.

22 DR. FENICHEL: Yeah, well, this is, of
23 course, true, and it is very much a Califf theme that
24 we are looking at drugs which in prospect are used in
25 very many people over a long period of time, and we

1 are making decisions on the basis of what sounds like
2 a lot of people, but are really few.

3 If you go back to the previous meeting of
4 the Committee where we talked about clopidagril and
5 talked about the CAPRI trial, one of the largest
6 trials, not necessarily the very largest, but one of
7 the largest trials ever to be considered by this
8 Committee. It was a trial which allowed us to acquire
9 approximately 16,000 patient-years of experience with
10 the drug, a very unusual size database.

11 Well, that compares to what we now know
12 about the approved sartans, which is, as I say again,
13 between one and two million patient-years. You can't
14 get that information any other way.

15 CHAIRPERSON PACKER: Ray.

16 DR. LIPICKY: It might be worth throwing
17 a couple of numbers around, and these are order of
18 magnitude numbers, but, you know, the clinical benefit
19 of an antihypertensive is something in the order of
20 one per thousand. So if there are really adverse
21 problems that have a frequency more than that, you're
22 very close to where you would not like to see things
23 be.

24 And I can't remember if it's one per
25 thousand patient-years. It's something on that order.

1 So clearly, that's a threshold and a cause
2 for concern. I would guess that in the experience
3 that we've had with things like agranulocytosis and
4 liver problems that the number of cases of clinical
5 cases where you can never make cause-effect
6 associations -- I mean you never know whether these
7 cases are due to drug -- you start believing things
8 sort of when you start -- at least I start believing
9 things sort of when there are 20 or 30 of them because
10 then they're sort of believable, and up until that
11 threshold you never know as far as I'm concerned.

12 So the number of cases and the incidence
13 of cases are two almost disparate things, okay, and
14 they're not connectable, I don't think, and in the
15 case of tasosartan, since the total duration of
16 exposure is on the short side compared to the amount
17 of time that it usually takes for known hepatotoxins
18 like labetalol and dilevilol to produce clinical
19 illness, the issue is not was there clinical disease,
20 but was there a signal here that says tasosartan
21 affects the liver.

22 And indeed, there were rechallenges that
23 one can look at from that point of view, and so the
24 issue is really looking in the crystal ball and
25 saying, well, if it goes out there as one in a

1 thousand cases, a possibility, and if that is a
2 possibility, should it be ruled out?

3 CHAIRPERSON PACKER: Okay. Udho.

4 DR. THADANI: Of all the patients, you
5 mentioned two deaths and 13 hepatic failure. What was
6 the exposure in those patients? I know you showed the
7 database. Was the exposure very short or they didn't
8 have associated hepatitis or something else happening?

9 DR. FENICHEL: Well, these are fairly
10 confidently attributed to drug, and that it might turn
11 out on much closer examination that all 13 are drug
12 related or even can be determined. You know, I can't
13 say that.

14 Is Dr. Goetsch here, by any chance?
15 Because I have some documents with me that I can
16 review and provide the answer to that question a
17 little bit more about how long people were on therapy
18 before they --

19 DR. THADANI: Yeah. Is the duration like
20 these trials, where they have to go for several years?
21 I'm just curious.

22 DR. FENICHEL: Well, it can't be for
23 several years because we're talking about the sartans,
24 the oldest of which has not been around for several
25 years. I don't know how long the exposure was in

1 those cases. I can tell you in a few minutes.

2 DR. THADANI: Okay.

3 CHAIRPERSON PACKER: Okay. Ileana.

4 DR. PINA: I think it's an important
5 question when we talk about drafting guidelines for
6 future studies, and, Ray, you've seen the European
7 guidelines that you say are out there for hypertension
8 studies, and the FDA's are in draft form. Is that
9 what you were saying?

10 DR. LIPICKY: That's right.

11 DR. PINA: Because we're talking about
12 long term drug exposure. Then we're going to be
13 drafting guidelines for drugs studied much longer than
14 the usual eight, 12 week, short term trials. Do you
15 have any idea what the European guidelines right now
16 are asking for time-wise?

17 DR. LIPICKY: No, I don't.

18 Dr. Hoppe, do you know off the top of your
19 hat what the European guidelines call for? I just
20 don't remember.

21 Dr. Hoppe is from what used to be or still
22 is the German VGA.

23 DR. HOPPE: Right.

24 DR. LIPICKY: Is it still or it was?

25 DR. HOPPE: Well, it's not the VGA. It

1 changed its name to BFARM, but actually it's the same
2 institution, not better, not worse, I think.

3 So the European guideline call for two
4 comparative trials, preferably performed for six
5 months or more, and these trials should be active
6 controlled.

7 DR. LIPICKY: And the only thing I'll
8 point out, although that's very informative, is that
9 this is a few hundred patients.

10 CHAIRPERSON PACKER: We know.

11 DR. LIPICKY: All right.

12 CHAIRPERSON PACKER: Dan.

13 DR. RODEN: I want to express sort of a
14 sense of scientific frustration here because it seems
15 to me that the data that Joel presented puts the issue
16 of liver toxicity into some perspective. Tacrine is
17 an outlier because there is such a high incidence of
18 abnormal transaminases, and yet clinically apparent
19 liver disease is not a problem.

20 So it seems to me that what we're dealing
21 with is a phenomenon that must have multiple
22 mechanisms, and I haven't heard anybody say anything
23 about the mechanism at the molecular level for liver
24 injury by this drug or by any other drug, if it
25 exists.

1 The other sense of frustration that I want
2 to express is -- and I think this actually is relevant
3 to our discussion as opposed to my first comment,
4 which may or may not be, and that is this term that
5 I've just heard this morning for the first time
6 spoken, and that is "the sartans."

7 I don't understand why we are making the
8 assumption that this is a class action. I grant you
9 that there appears to be an issue with liver toxicity
10 with two other drugs that appear to block this
11 particular receptor, but unless there is something
12 that somebody can tell me either about a common
13 chemical structure that causes liver disease or a
14 common molecular mechanism, is block of AT I receptors
15 in and of itself likely to produce liver damage in
16 some subset of patients, then I think we ought to just
17 take those other drugs as experimental.

18 It's conceivable there's a class effect,
19 but I think we're leaping to an assumption that may
20 not necessarily be justified.

21 I'd love to hear from one of the liver
22 guys if there's anything to say about mechanisms,
23 particularly with respect to the tacrine story, just
24 because it helps focus what we're supposed to talk
25 about here.

1 DR. ZIMMERMAN: By and large you can't
2 talk about a class action. I mean a class of drugs
3 and the kind of liver injury it produces. Take the
4 NSAIDs. They're a drug like diclofanac. Don't take
5 them yourself. Just talk about them.

6 (Laughter.)

7 DR. ZIMMERMAN: They're a drug like
8 diclofanac with a large number of cases reported.
9 there are other drugs like ibuprofen, very rarely
10 involved, and some even less frequently involved.

11 The class does not determine it. The
12 molecularly structure, the active metabolite to which
13 it's converted play the role, and so except where
14 there are very close structural similarities and
15 similar metabolites, the class of the drug and the
16 pharmaceutical role of the pharmacologic effect do not
17 predict whether injury will occur.

18 Is that your question?

19 DR. RODEN: Well, I guess may question is,
20 I mean, does anybody have any clue about the mechanism
21 of liver damage by losartan, by tacrine, I mean, at
22 the molecular level? So can we say that this is or is
23 not a class effect? I mean that would be a helpful
24 thing to know.

25 DR. ZIMMERMAN: I don't think you can

1 predict a class effect.

2 CHAIRPERSON PACKER: Bob.

3 DR. FENICHEL: Yeah, I just returned to
4 answer Udho's question of a few minutes ago. Looking
5 at some of the serious liver disease cases that have
6 been reported post marketing with the approved
7 sartans, going down the list, the latency of time on
8 drug, I see one month, three months, unknown, one and
9 a half months, 11 days, one and a half months, four to
10 six weeks, several months, whatever that means, less
11 than a month, and ten days. That's not a complete
12 sample. That's all I can lay my hands on right away.

13 CHAIRPERSON PACKER: Okay. Dr. Riggs,
14 could we ask you to summarize and we'll go on to the
15 questions?

16 DR. RIGGS: I have very brief concluding
17 remarks.

18 In summary, we believe that tasosartan
19 should be approved for the treatment of essential
20 hypertension. It is safe and effective. LFT
21 abnormalities associated with tasosartan are
22 transient, asymptomatic, and do not represent a
23 significant safety concern.

24 Monitoring, in particular, is not
25 warranted, and we propose to conduct a large post

1 marketing study after approval, following consultation
2 with the division on its design.

3 Thank you.

4 CHAIRPERSON PACKER: Okay. Thank you very
5 much.

6 I think the Committee doesn't have any
7 additional specific questions for the sponsor, and we
8 will go on to the formal questions from the agency.

9 The Committee has had, I think, a
10 considerable educational experience this morning, and
11 now we are being asked to take that education and
12 apply it to formal recommendations to the agency.

13 I will not read the introduction, except
14 to say that hepatotoxicity is a recognized occasional
15 adverse effect of some approved antihypertensive
16 agents, including methyldopa, all of the ACE
17 inhibitors, and many others. In some cases,
18 physicians are asked to perform periodic screening.
19 In others it's been the source of nonapproval.

20 Let me also before going on to the
21 questions read one interesting conclusion from Dr.
22 Fenichel's analysis of drug induced hepatotoxicity.
23 He reminds the Committee there are two possibilities
24 here. If tasosartan is outstandingly hepatotoxic and
25 it were approved on the grounds that it was effective

1 and the data do not distinguish it from drugs with
2 unremarkable safety records, then the public health
3 will suffer.

4 On the other hand, if tasosartan is as
5 safe as other commonly used antihypertensives, but it
6 were nonapproved on the grounds that (a) it is under
7 a cloud and (b) the world has no great need for
8 another sartan, then this sponsor will have been
9 penalized for its collection of better than average
10 data, and future sponsors will be given perverse
11 incentives.

12 (Applause.)

13 CHAIRPERSON PACKER: With that charge,
14 question number one: what do the animal data suggest
15 regarding the hepatotoxicity of tasosartan and the
16 other sartans?

17 We'll turn to our primary reviewer first,
18 Dr. Thadani.

19 DR. THADANI: I think one of the issues
20 obviously comes up. We cannot predict much, and there
21 was so much species differences that one can't say
22 much, and there has been no major concern.

23 CHAIRPERSON PACKER: Does anyone on the
24 Committee disagree?

25 (No response.)

1 CHAIRPERSON PACKER: Question number two.
2 There were no cases of clinically apparent liver
3 disease in the clinical trials of tasosartan, only one
4 case in the trials of other sartans, perhaps now two
5 cases. How much reassurance -- well, I should say 13
6 cases, two deaths --

7 PARTICIPANT: No, no, no, no. This is in
8 the trials.

9 CHAIRPERSON PACKER: No, in the trials.
10 Sorry. One. that's right.

11 How much reassurance does this provide?

12 Udho.

13 DR. THADANI: I think that given the
14 database, a few thousand patients, it gives you some
15 reassurance, but when the incidence is going to be
16 low, obviously you need thousands of patients. So it
17 gives me some reassurance.

18 Now, if you're lumping all of the sartans
19 together here and obviously you need exposure for
20 thousands of patients to address the issue. So I
21 think I have some reassurance, but in order to be
22 totally convinced, I think you need a lot of post
23 marketing database to address that issue, unless you
24 are willing to do trials of 50,000, 60,000 patients,
25 and some of the trials are ongoing on this.

1 CHAIRPERSON PACKER: Ray.

2 DR. LIPICKY: Udho, just to clarify your
3 comments a little bit, what does "some" mean? So
4 there were zero deaths seen.

5 DR. THADANI: Yeah.

6 DR. LIPICKY: So that means it doesn't
7 kill everybody?

8 DR. THADANI: Well, I think the problem is
9 if you take all hypertensives in general population.
10 We know some people have strokes, and some are going
11 to die of myocardial infarction, and some are going to
12 die. Again, that's also age dependent, and we know
13 obviously that if you look at it, we had discussion on
14 antihypertensives not long ago, that lowering the
15 blood pressure, that was a conclusion, although the
16 question is which drug you use. Drugs have been
17 different. Diuretics that have been okayed reduce the
18 stroke rate by, say, 50 or 52 percent.

19 And I think using that as a target,
20 lowering of blood pressure, is probably good in
21 preventing the stroke, and to some extent that thread.

22 Now, the reason I was hedging on my
23 remarks since there are no deaths, at least there's
24 some reassurance. That's why there's some
25 reassurance, because if there were a few deaths, then

1 you'd really worry about especially with the hepatic
2 injury, and the deaths are more common, I think it
3 would raise a red flag.

4 The fact there are no deaths and then I
5 hear Bob saying that he has got 13 cases now, but with
6 the exposure which is not different than the trials
7 now, because what you said just now, there were two
8 deaths and 13 hepatotoxicity with other sartans in
9 which exposure has been one month, two month, three
10 months, which is within the trial period, and
11 obviously there are several million exposures.

12 So to address the issue of absolute
13 safety, I think you'll need thousands and thousands of
14 patients, and really -- but that was my remark. I
15 hope I answered your question you're addressing.

16 CHAIRPERSON PACKER: Yeah, Udho, I think
17 the question doesn't ask whether you are persuaded
18 that the drug is entirely safe. I think the question
19 that is asked here is whether the absence of
20 clinically apparent liver disease is reassuring, and
21 to what degree it's reassuring.

22 Because I think a little bit further on
23 we're getting into the issue of how persuaded you are
24 about safety, but I think that this is really a
25 question that I think focuses on our response to the

1 presentations of the hepatologists who instructed us
2 that if we don't see clinically apparent liver
3 disease, that that should be reassuring.

4 And the question is: do you agree with
5 that? Is the presence of no such cases in the
6 existing database reassuring?

7 DR. THADANI: I think, again, obviously
8 it's reassuring that nobody had a clinical liver
9 disease, but with one caveat. Because the trials, the
10 way they were conducted, a lot of patients who had LFT
11 -- ALT levels going beyond three were stopped, I
12 really don't know what would have happened to those
13 patients if you continued the drug, and I think you
14 have to put that caveat in, that you can't give a
15 blank statement, "Don't do it," because I don't think
16 the hepatologists know the patient level.

17 I know 67 percent that are blips and came
18 back normal, but there were 33 percent that may not be
19 blips. So if you continue the drug, say, with LFTs
20 three times or 2.5 and four months he goes to ten
21 times, I think that that data is not there yet.

22 CHAIRPERSON PACKER: Rob.

23 DR. CALIFF: I think Lem was.

24 CHAIRPERSON PACKER: Oh, Lem. I'm so
25 sorry.

1 DR. MOYE: I feel somewhat less reassured
2 than Udho does, I think, because I think we're all
3 handcuffed by the low incidence rate of the event in
4 which we have such great interest, and with this low
5 incidence rate, this sample, even though it is a large
6 sample by many standards, is still not large enough
7 for us to have any reassurance, and we need to be
8 assured that the population from which the sample is
9 derived is not going to see liver disease.

10 That's the important issue for us, and to
11 what degree does the sample reassure us? The
12 incidence rate is so small; the sample is so small
13 that, in fact, we can get no reassurance from this.

14 CHAIRPERSON PACKER: Rob?

15 DR. CALIFF: Yeah, I guess my response to
16 the question is that I am moderately reassured by the
17 clinical trials that have been done. It's a modest
18 experience. Nothing terrible happened, but the two
19 points that -- and I'm also somewhat reassured by the
20 fact that Ray said that in his experience he hasn't
21 seen a lot of this. Hepatotoxicity seems to be
22 idiosyncratic and not related to the underlying
23 population.

24 But, you know, I wouldn't really call
25 what's been done here clinical trials. I would call

1 them well done physiologic experiments because the
2 trials really don't represent the patients we've seen
3 in practice or the situation in which the treatment is
4 going to be used in the real world.

5 So in the setting of a clean physiologic
6 experiment, things look pretty good.

7 CHAIRPERSON PACKER: Marv.

8 DR. KONSTAM: I'm not reassured. I guess
9 the most I think you could say is that there's
10 obviously no death signal in the data set or severe
11 liver dysfunction signal in the data set, but, you
12 know, for example, if you assume that the incidence of
13 LFT abnormalities was in the one or two percent range,
14 and if you assumed that -- and that that was real --
15 and that ten percent of those patients were to go on
16 to have severe liver failure, then, you know, you're
17 in the range of one in a thousand.

18 And in the range of one in a thousand we
19 might not see any clinical cases, and then one in a
20 thousand over what period of time? And so at that
21 level, we may well not see any case, and I guess this
22 is just what Lem was saying, but just in more specific
23 terms.

24 We might well not see that in the data set
25 that we have. So I can't see how -- and yet I don't

1 think that we would approve the drug. If we knew that
2 there was a one in a thousand incidence of death from
3 another antihypertensive agent, I don't think we'd
4 approve the drug.

5 So I don't see any reassurance. I think
6 we're going to be left with saying, you know, is there
7 enough of a signal in this LFT abnormality to make us
8 say, "Identify a trial that will give us that
9 reassurance," and then I'm not sure how big that trial
10 is going to have to be.

11 So I guess we'll get to that, but I can't
12 see how you can say you're reassured that there is no
13 clinically relevant hepatotoxicity from the data set.

14 CHAIRPERSON PACKER: Marv, let me just
15 pause for a second. You must be a little reassured.
16 I mean, to the extent that there is a database, it's
17 better to have no cases than to have some.

18 DR. KONSTAM: No, let me be clear. By
19 saying I'm not reassured, it's not an indictment of
20 the drug and is not necessarily commenting on the
21 level of concern that I have about the LFT
22 abnormalities. It's a broader lack of reassurance.
23 I mean it's a lack of reassurance about -- and this
24 relates to what Rob is saying -- it's a lack of
25 reassurance with the type of data that we accumulate

1 in antihypertensive trials.

2 And so if you ask the question, are you
3 reassured, you know, the answer just is no because we
4 don't have a database in any of these trials big
5 enough to detect, say, with certainty a one in a
6 thousand rate of severe hepatotoxicity.

7 CHAIRPERSON PACKER: Maybe the way of
8 phrasing this, and then I'm going to ask Lem to
9 comment, is that what I think I hear Marv saying, Rob
10 saying, and Udho saying is that there is some
11 reassurance, but it's not the kind of reassurance that
12 you're looking for. Is that fair?

13 DR. MOYE: What kind of reassurance is
14 that?

15 CHAIRPERSON PACKER: No, the kind of
16 reassurance you're looking for to be able to feel
17 secure about a regulatory decision. I want to try to
18 reach a consensus here, and is that accurate, Marv?
19 Not really.

20 DR. KONSTAM: I think if you're using the
21 term "reassurance," I don't think we're going to wind
22 up with a sentence that I'm going to agree with.

23 CHAIRPERSON PACKER: Lem.

24 DR. MOYE: I think I might disagree with
25 you a little bit, Milt. I think no deaths in this

1 small sample is no reassurance, absolutely no
2 reassurance. If we had adequate power, of course it
3 would be. In fact, with adequate power, you could
4 have a few deaths and still be somewhat reassured, but
5 in the absence of adequate power, no deaths for me
6 means no reassurance here.

7 CHAIRPERSON PACKER: Barry?

8 DR. MASSIE: The statement really doesn't
9 say no deaths though. It says no clinically apparent
10 liver disease, and I think that the absence -- well,
11 I don't think it's the same thing -- the absence of
12 even a bilirubin elevation of three or a symptom has
13 to be somewhat reassuring in a database of 4,000
14 people.

15 Now, is it reassuring enough to have no
16 concern? Of course not. So I think it's the
17 modifier, somewhat, moderately, whatever it is, but
18 it's not like there's no data. There's no data on
19 mortality. I think that's fair to say, given the
20 numbers, but on liver disease, I think there is some
21 data.

22 CHAIRPERSON PACKER: Ray.

23 DR. LIPICKY: I think that was the gist of
24 the question. That is, in fact, this is for liver
25 disease, clinically apparently liver disease. That's

1 what was being asked about. None of that was
2 observed.

3 And the reason that one discusses enzyme
4 elevations and/or bilirubin and/or alkaline
5 phosphatase is from the vantage point of trying to get
6 a feeling for whether or not this is likely to be --
7 whether this drug could cause liver disease.

8 So the enzymes don't enter into this. The
9 thing is there were no clinically apparent liver
10 problems noted, and that fact alone, does that give
11 you any reassurance?

12 And from the vantage point of what
13 reassurance means here, Lem's interpretation is sort
14 of what we were thinking about with that word, was the
15 confidence limits here are very wide, and so not
16 seeing and not observing anything doesn't tell you
17 very much.

18 CHAIRPERSON PACKER: Let me ask a question
19 before going back to Lem.

20 Ray, has there been an example of a drug
21 which produced no abnormality of liver function during
22 the clinical trials, but produced clinically apparent
23 liver disease after its approval?

24 DR. LIPICKY: Well, if you accept the 13
25 cases of sartans post marketing, the answer is yes.

1 CHAIRPERSON PACKER: There were no
2 abnormalities of liver function --

3 DR. LIPICKY: That were detected.

4 CHAIRPERSON PACKER: -- that were detected
5 during clinical trials.

6 DR. LIPICKY: That anyone thought would
7 represent a signal.

8 CHAIRPERSON PACKER: Okay.

9 DR. LIPICKY: Now, whether you should take
10 that as evidence of anything, I'm not sure. If you
11 take those things that cause liver abnormalities
12 frequently, labetalol, levilol, dicrinothin, the
13 answer to the question you asked is no. There was
14 always something in the database, and in fact,
15 labetalol was approved with full knowledge that there
16 was actually 25 cases of liver disease, all
17 reversible, and therefore, it was approved with
18 labeling that said, "Draw enzymes frequently." And I
19 can't remember what, but I believe once a month, and
20 the real issues with all of these things were that
21 people, when they become sick, really get pretty sick,
22 and that all that's happening is they're getting sick,
23 is their enzymes are going up a little bit each month.

24 CHAIRPERSON PACKER: Maybe just to
25 clarify, Dr. Zimmerman, Dr. Maddrey, any knowledge

1 that you have of a drug totally clean in terms of
2 transaminases during clinical trials that produced
3 clinically apparent liver disease post marketing?

4 DR. ZIMMERMAN: I'm not sure, but looking,
5 from what I know of the rezulin data, it seems to me
6 the severe cases that appeared after marketing were a
7 total surprise.

8 Now, I don't know what the enzyme data
9 were beforehand. I know there were no important cases
10 beforehand. Rezulin, troglitazone.

11 CHAIRPERSON PACKER: We may have someone
12 who's --

13 PARTICIPANT: I think of the 2,510 cases,
14 the earlier slide was correct. There were two cases
15 of jaundice in patients who were clearly symptomatic.

16 DR. ZIMMERMAN: I didn't know that.

17 PARTICIPANT: No, there's no doubt about
18 that. There were three cases with transaminases of
19 greater than 1,000 in patients who were totally
20 asymptomatic, but those two others with jaundice were
21 symptomatic, and when the drug was discontinued and,
22 of course, it was reversible fortunately.

23 DR. ZIMMERMAN: On the other extreme, you
24 have the example of tacrine where almost 50 percent of
25 the patients developed enzyme abnormality and hardly

1 any liver injury occurs. There's something greatly
2 missing between the frequency of enzyme abnormality
3 and its predictability for overt disease.

4 CHAIRPERSON PACKER: Marv.

5 DR. KONSTAM: You know, just to stay in
6 the abstract for a moment, I mean, I think that we
7 have to ask the question at what level of certainty
8 would we like to be in terms of ruling out serious
9 adverse events in antihypertensive agents. That to me
10 is the question.

11 And so I think you can look at this data
12 set quantitatively, and you, I think, probably would
13 wind up concluding, for example, that you can rule out
14 major toxicity at the level of one per hundred
15 patient-years, but perhaps not at the level -- I don't
16 think at the level -- of one per thousand patient-
17 years. You won't see that here.

18 And so that's really the question before
19 us. I mean, I think the question is in general terms
20 at what level do we want to rule out serious adverse
21 events, and if we do decide that we want to be certain
22 at the level of one per thousand patient-years, then
23 we should be designing clinical trial programs to rule
24 that out. We don't have one here.

25 CHAIRPERSON PACKER: But I just want to

1 make sure that we remain focused here. Let's assume
2 that there were another NDA for the treatment of
3 hypertension this afternoon, and that NDA had 4,000
4 patients, and in the entire NDA database there were
5 five cases of increased LFTs, more than three times
6 normal, and giving an overall incidence of LFT
7 abnormalities of .02 percent. I didn't calculate it
8 out, but something low.

9 And because it was beneath the FDA
10 reviewer's radar screen, it didn't come to the
11 Committee, but I think everyone on this Committee the
12 next time it gets a drug for the treatment of
13 hypertension is going to pick up the books that we
14 receive and look directly at the LFT section, and it's
15 going to find a couple of cases of LFT abnormalities.
16 I guarantee you you're going to find this.

17 DR. KONSTAM: Can I? I think you're
18 hitting -- this is exactly what the quandary is going
19 to be that we face here because if, in fact, we're
20 concerned about the LFT signal, then we're going to
21 have to say what do we recommend be done about it, and
22 I'm not sure that we're going to have the gumption to
23 advise designing a trial that will with certainty
24 really get at the question that we want, which is: is
25 there a one in a thousand or what have you likelihood

1 of severe hepatotoxicity?

2 That would be what we would have to do if
3 we wanted to pick up on this signal that we're seeing,
4 and that's the dichotomous choice that we have.

5 DR. MOYE: Speak for yourself on the
6 gumption issue.

7 PARTICIPANT: Right. Why not have that
8 gumption?

9 DR. KONSTAM: I'm not saying we won't, but
10 that's the decision that we're going to have to make.

11 CHAIRPERSON PACKER: Let me just
12 emphasize. The point that Lem is raising is a point
13 which is a generic issue as to how much safety you
14 need to feel comfortable or reassured about a drug
15 that is given long, long term based on approval of a
16 surrogate endpoint with a difficult to calculate risk
17 to benefit relation because one actually isn't
18 measuring benefit. It's what was said earlier.
19 There's a surrogate for efficacy, and there's a
20 surrogate for safety, and you put two surrogates
21 together, and you've got real problems.

22 And there is a real issue here. So if you
23 just keep that in mind because any recommendations we
24 make here should, if we're true to ourselves, be
25 generalizable, and we need to just keep that in mind.

1 Please.

2 MR. SCHNEIDER: Yeah, I'd just like --

3 CHAIRPERSON PACKER: Could you identify
4 yourself? I'm sorry.

5 MR. SCHNEIDER: My name is Bruce Schneider
6 from the sponsor. My background is statistics.

7 And I just want to make a point about this
8 issue of power and what you can see with these sample
9 sizes, and if you accept the notion that all patients
10 exposed in this entire clinical trial program, and
11 they had some possibility of developing a clinical
12 event, you can work out --

13 CHAIRPERSON PACKER: Could you pick up the
14 microphone? We're having -- that's great. Thanks.

15 MR. SCHNEIDER: If you accept the
16 possibility that all clinical patients exposed had the
17 possibility of having a clinical event, then you can
18 do some calculations here, and for a one in a thousand
19 underlying rate, which is what some people have been
20 talking about, a 90 percent power would require a
21 sample size of 2,300 patients.

22 Looking at this in a different way, with
23 the 4,000 people exposed to tamosartan in this trial,
24 again, assuming that you had a one in 1,000 rate of
25 occurrence, then the probability of seeing no events

1 is .018 or 1.8 percent.

2 So you do have reasonable chance of having
3 seen at least one event if that were to have occurred.

4 DR. KONSTAM: Yeah, if we assume that the
5 underlying rate was one in a thousand.

6 MR. SCHNEIDER: If you assume the rate
7 were one in a thousand.

8 DR. KONSTAM: If it were one in 5,000 --

9 MR. SCHNEIDER: If it were one in 5,000 or
10 one in 10,000, of course, the numbers become much
11 higher.

12 DR. KONSTAM: Yes. There's a time element
13 also that we've got to deal with because are we
14 talking about one in a thousand or one in thousand
15 years of exposure, patient years of exposure, or what
16 are we talking about? Because if we're talking about
17 one in a thousand over one week of exposure, you know,
18 that's not going to be sufficient. So you --

19 MR. SCHNEIDER: I'm just talking about
20 patients exposed. I'm not --

21 DR. LIPICKY: You drop everybody who was
22 likely to develop a problem. So that's just not a
23 fair calculation.

24 MR. SCHNEIDER: Well, you have to talk
25 about exposure, actions taken during --

1 DR. LIPICKY: No, no, no. Look. It is
2 not just exposure. These are idiosyncratic things.
3 It isn't just the number of patients, and every
4 patient that might have developed something was
5 eliminated because they weren't allowed to continue,
6 on the whole.

7 So those numbers just aren't fair numbers
8 to cite I don't think.

9 MR. SCHNEIDER: Yeah, I think you need to
10 understand what all the assumptions are here, but I
11 just want to try to clarify something in terms of pure
12 number calculations.

13 CHAIRPERSON PACKER: I don't -- Ray?

14 DR. LIPICKY: Well, Milton, can I just say
15 one thing? I don't know if it'll be useful, but you
16 know, we're not trying to establish here the sort of
17 absolute incidence that would make anybody worry. You
18 know, I did cite some numbers as guidelines, nor try
19 to come to grips with having hard data for approval
20 for antihypertensives. I don't think we need to try
21 to go through that decision making process.

22 But as I see this problem and the reason
23 we're here is that for every antihypertensive, if
24 there is no signal by QTC prolongation or enzyme
25 elevation or something like that, generally we, maybe

1 not after you guys are done with us, but we are
2 generally willing to not ask the hard question of do
3 we really know whether this is useful, okay, and go
4 along with the surrogate.

5 Just like for treatment of headache, you
6 know, you see pain relief and you don't want to see a
7 mortality trial to be able to be sure that people
8 don't die more frequently when they are headache free.
9 So we take that, but indeed, the problem is exactly
10 what we're talking about. When is there a signal in
11 the data that would make those precepts wrong; that
12 that's one of the things we're talking about.

13 And I guess the alternative would be that
14 you could come to the conclusion it is irrational to
15 think you can try to look for these signals, and
16 therefore, you should always insist on the
17 morbidity/mortality trials.

18 CHAIRPERSON PACKER: Would you like the
19 Committee to consider that?

20 DR. LIPICKY: After you're done with these
21 questions.

22 (Laughter.)

23 CHAIRPERSON PACKER: Okay. We will try.

24 I think it would be fair since number two
25 is such a pivotal question to go down the Committee

1 and simply ask individuals whether or not they are
2 reassured, and if they are, to what degree they are
3 reassured, and you can state why.

4 And, Cindy, why don't we begin with you?

5 DR. GRINES: I'm moderately reassured by
6 not having any clinically apparent liver disease, and
7 it was my understanding that some of the cases that
8 had elevated LFTs were maintained on therapy and
9 abnormalities went away on their own.

10 So, in fact, those patients were not all
11 withdrawn from the drug, and despite that, appeared to
12 not have any serious problems.

13 CHAIRPERSON PACKER: John.

14 DR. DiMARCO: I'm not sure what reassured
15 means. I don't think this reassures me that there
16 will be no incidences of liver disease or death due to
17 liver disease if this drug were to come out worldwide.
18 However, I don't think that the incidence will be
19 particularly high.

20 I think we have some reassurance that it's
21 not going to be a high incidence, and exactly where
22 the line between too high or when is a low incidence
23 too high to accept I think is a very difficult one to
24 say.

25 I don't think we know how low it is or how

1 high it is. We know it's not above some final number.

2 CHAIRPERSON PACKER: Lem.

3 DR. MOYE: Yeah, I'm not reassured for the
4 reasons I gave earlier, and also for the fact that we
5 really don't know the -- we don't have the link
6 between the chronic mild occurrence of elevated liver
7 enzymes and long term clinical sequelae. I mean
8 that's an important link not to have.

9 In the absence of that and because of the
10 low incidence rate, I am not reassured.

11 CHAIRPERSON PACKER: Bob.

12 DR. CALIFF: I guess maybe the best I can
13 say is I'm no less reassured by these data than any
14 other hypertension database that we've seen. I think
15 it's practically -- you know, I think patients expect
16 their doctors to know whether the treatments they're
17 giving actually benefit the patients, and we have no
18 knowledge one way or the other for this drug or the
19 other ones that we've looked at for hypertension.

20 CHAIRPERSON PACKER: But that's actually
21 not the question.

22 DR. CALIFF: Well, but reassurance has to
23 be in the context of what's the risk relative to the
24 benefit.

25 CHAIRPERSON PACKER: Okay.

1 DR. CALIFF: So I'm not reassured.

2 CHAIRPERSON PACKER: Okay. I understand.

3 JoAnn.

4 DR. LINDENFELD: Yeah, I'm mildly
5 reassured that there won't be a high incidence of
6 serious liver toxicity. I think, of course, the
7 question is what is the incidence that we have to be
8 concerned about, but I'm mildly reassured by this
9 data.

10 On the other hand, I think this population
11 of patients that we studied was also a relatively low
12 risk hypertensive population, and I'm worried. I
13 don't think we know if low risk hypertensive patients
14 also have a lower risk for liver toxicity or if it's
15 truly idiosyncratic. So I'm just very mildly
16 reassured.

17 CHAIRPERSON PACKER: Marv.

18 DR. KONSTAM: I'm not reassured. I mean,
19 I guess my entire uncertainty around the approvability
20 of this drug relates to how concerned I should be
21 about the LFT abnormalities. If I am concerned about
22 those LFT abnormalities, I am not reassured by the
23 level of lack of severe liver disease that we have in
24 the data set because I think we could have a
25 significant problem there and not see it in the

1 present data set.

2 CHAIRPERSON PACKER: Udho.

3 DR. THADANI: As I said earlier, I'm
4 somewhat reassured that there were not clinical cases
5 of liver toxicity -- liver disease, but with one
6 caveat. In this trial, they did the enzymes very
7 frequently, and the fact that the liver enzymes were
8 up, the patients were stopped, and I don't know what
9 would have happened to those patients if you continued
10 that without following the same rules of the study
11 trial.

12 So, you know, we're not talking about
13 death or liver disease. In this particular trial
14 there were no actual liver disease problems, but what
15 would happen to the patient if you did not stop it?
16 And I don't think I heard any even from the experts.
17 I don't think the experience is there, although they
18 were 67 percent normalized, but, say, if it was three
19 times, four times, would they go into fulminant liver
20 problem? I don't know.

21 CHAIRPERSON PACKER: Ileana.

22 DR. PINA: I share what Udho was saying.
23 I am not reassured from the data that I'm seeing. The
24 population studied may not be the population that we
25 see in the post marketing type of population.

1 We've been told by the liver experts that
2 elevations of five times or higher should make us be
3 concerned. Many of the patients were dropped when
4 they got to three times higher. So I don't know what
5 would have happened to those patients had they
6 continued.

7 The one reassurance that I have is that
8 some patients returned back to baseline doing
9 absolutely nothing, but I wonder if those blips were
10 caused perhaps by some other factor and not
11 necessarily by the drug because we see this clinically
12 a lot.

13 CHAIRPERSON PACKER: Dan.

14 DR. RODEN: I'm reassured, but my level of
15 reassurance is really sort of going to be difficult to
16 distinguish from no effect at all. I think that you
17 can say that there's not going to be a huge incidence
18 of acute liver failure with this drug. I think you
19 can say that, and beyond that I think that I don't
20 have anything new to add to all of the issues that
21 have been discussed already.

22 Except I would say one thing, Ray, and
23 that is that we should just stop using the term
24 "idiosyncratic" to describe these reactions. That
25 just means -- there is a mechanism. We just don't

1 know what it is, and that, I come back to my sense of
2 scientific frustration again because that's a word
3 that I really object to because it just says we're
4 ignorant.

5 CHAIRPERSON PACKER: Barry?

6 DR. LIPICKY: Do you have an alternative
7 word?

8 DR. RODEN: No.

9 DR. MASSIE: I would say I'm somewhere
10 between mildly and moderately reassured echoing the
11 reasons that Cindy and others have stated. I should
12 point out, and maybe this is getting into Rob's
13 territory, that there were 13 deaths in this
14 experience. That's a lot of deaths. This is not a
15 low risk population. That's one out of every 300
16 people in their trials. So I really think this is a
17 general question. This is not so much a liver
18 function question.

19 CHAIRPERSON PACKER: I guess I'm mildly to
20 moderately reassured only because I think it's better
21 to see no clinical events than to see clinical events.
22 It may not be the level of reassurance that everyone
23 is seeking, but I think it's nice to see that there
24 weren't any cases.

25 All right. The Committee vote on that one

1 was six to five, six meaning that six members believed
2 that there was some reassurance even though it might
3 have been mild. Sometimes I can't believe the kinds
4 of votes we take.

5 Okay. There have been scattered post
6 marketing reports of clinically significant liver
7 disease convincingly attributed to some of the
8 sartans. Should these reports be treated as drug
9 specific or do they suggest a class effect?

10 Dan got into this earlier. Udho, what do
11 you think?

12 DR. THADANI: I think what we have heard
13 from the experts we'll have to think they're drug
14 specific. Metabolites are different, and I think
15 unless we have a clue we can't say they are class
16 effects. I would say it would have to be each
17 individual drug read could be different because of
18 either the metabolite or the paired compound because
19 to my judgment what I've heard is not a class effect
20 as a drug specificity.

21 So the answer is drug specific.

22 CHAIRPERSON PACKER: Okay. Dan, did you
23 want to have anymore comments on this?

24 DR. RODEN: I mean, I guess if there were
25 a drug, if there were a class effect from whatever

1 mechanism, then this is what you'd expect to see. So
2 it doesn't -- I don't know whether I like the wording,
3 but they're certainly compatible with the idea of a
4 class effect, and my frustration was before, again,
5 nobody seem to have any handle on the mechanism
6 whereby a class effect would or would not arise.

7 I'm not sure I like the wording of the
8 question.

9 CHAIRPERSON PACKER: Here's the concern
10 about the --

11 DR. RODEN: No, no, I --

12 CHAIRPERSON PACKER: -- concept of class
13 effect has -- is not only an issue related to what do
14 the data show. I think it puts the Committee in a
15 position of having to judge whether whatever labeling
16 is created for this drug will be different than
17 labeling that exists or may be created for other
18 sartans.

19 So the question here is a generic issue,
20 in part, but a specific issue in others because it
21 says, "Should the existing reports of clinically
22 significant liver disease with this group of
23 antihypertensives be treated as drug specific or do
24 they suggest a class phenomenon?"

25 And I understand intellectually we don't

1 want to say "class phenomenon."

2 DR. RODEN: No. That's not quite what I
3 said. What I said was that if there were a class
4 effect through whatever mechanism, then this is what
5 we would expect to see, and perhaps my difficulty with
6 this question is that there have been 13 cases now,
7 Bob, and out of several million patient year
8 exposures. So if in a year from now or six months
9 from now there are 1,300 cases and they include all
10 three, perhaps four, depending on what we do with this
11 drug, available AT1 receptor blockers, then I think
12 the question will have answered itself.

13 So at some point the agency tracking the
14 data will come to some level of comfort. Now, sort of
15 quoting -- this is the way Bob Fenichel would -- come
16 to some level of comfort and say this is a class
17 effect or not, and I don't think we have those kinds
18 of levels. I don't.

19 DR. FENICHEL: Well, let me just get back
20 to some grounding in reality with the numbers. There
21 are not enough people in the United States to have
22 1,300 cases, you know, if the incidence rate is the
23 same across the class between now and a year from now
24 because there aren't that many people getting treated
25 with these drugs, and we're talking about incident

1 rates on the order of one per million.

2 You can't do it. The question is -- I
3 mean, the analogy which perhaps should be brought, you
4 know, back to public recollection is if you look at
5 the ACE inhibitors, there is now labeling language in
6 each of the ACE inhibitors that points out a shared
7 int hat case mechanism understood or at least
8 mechanism theorized, but I should say mechanism
9 theorized.

10 There is a mechanism theorized for
11 anaphylactoid reactions when people have bee sting
12 therapy, bee sting desensitization and may be
13 tolerating that well. An ACE inhibitor is introduced,
14 and then there is a definite incidence of angioedema,
15 of anaphylactoid reactions.

16 Well, that is a very rare phenomenon.
17 It's happened, I think, three times that's been
18 reported, and when an ACE inhibitor was inadvertently
19 reintroduced and the person had been tolerating this
20 bee sting desensitization fine, and then -- but it
21 certainly is not something we know about all the ACE
22 inhibitors. It seemed prudent to stick it into
23 labeling because we do believe that it is related not
24 to some mysterious property of the specific molecules
25 with which it is reported, but rather to the fact that

1 the ACE inhibitors are all bradykininase inhibitors.

2 Now, I don't know that that is true.

3 Bradykinin levels were not measured in those patients.

4 They're rarely measured in anybody. How much sureness

5 about mechanism do we need before we give this kind of

6 advice to people who are looking for what drug to

7 remove when a liver problem develops and one of

8 several drugs may be responsible?

9 I don't think there is any simple answer,

10 but to say that almost solipcistically that every

11 piece of data stands on its own, every molecule is

12 distinct from every other, that's not fertile.

13 DR. RODEN: No. So I think that if your

14 question is given a patient who develops an elevation

15 in a transaminase level and they're only taking an AT

16 1 receptor blocker and a benzodiazepine, then I would

17 implicate the AT1 receptor blocker.

18 PARTICIPANT: That's a class judgment.

19 DR. RODEN: I understand that, but that's

20 because the only other class drug has been cleared by

21 the liver experts.

22 So if there is a class action, the data

23 are what one would expect.

24 CHAIRPERSON PACKER: The problem with the

25 word "class phenomenon" is it implies a greater

1 understanding of mechanism than we have.

2 DR. RODEN: Right, exactly.

3 CHAIRPERSON PACKER: And I think it may be
4 better to reframe the question. Should these reports
5 be regarded as drug specific or should they be
6 characteristic of the available sartans?

7 Because if one says class effect, one
8 assumes that one actually understands how a sartan by
9 what it does can cause liver injury.

10 Dan, am I summarizing that correctly?

11 DR. RODEN: Yes.

12 DR. FENICHEL: Okay. I think that that is
13 well taken, and let me rephrase what I think was the
14 intent of the question, and Ray may want to comment on
15 this, but it seems to me the intent of the question
16 was we now have some data that come entirely from
17 losartan and valsartan because they're out there.
18 Should new sartans, about which there is no hint of
19 serious liver toxicity -- irbesartan, for example is
20 out there. Well, it hasn't been out there very long.
21 Should irbesartan mention that this is something seen
22 with other agents in the class or is that no more
23 relevant than that liver toxicity is seen with
24 dilevilol or isoniazid?

25 CHAIRPERSON PACKER: Barry.

1 DR. MASSIE: I think the important issue
2 -- I'm glad you raised the analogy to the pril group
3 because the neutropenia there is more, I think,
4 analogous to what we see.

5 I hear a "no."

6 DR. LIPICKY: I mean only from -- there's
7 only one place where neutropenia was seen, and that
8 was with captopril. The reason that the neutropenia
9 is in all of the labeling is because in the captopril
10 circumstance it was very clear that there was a
11 patient population that could be studied where one
12 could have an incidence of neutropenia sufficiently
13 large to clearly rule out that the new drug causes
14 that problem, and all of the people developing the ACE
15 inhibitors refused to take that challenge, and we
16 said, "Okay. Then you can have neutropenia in your
17 labeling."

18 DR. MASSIE: I understand.

19 DR. LIPICKY: So it is not an analogous
20 circumstance from a regulatory point of view, nor is
21 it an analogous circumstance because in this case you
22 can't identify how you can get liver disease. So you
23 can't study a population where the incidence might be
24 very high.

25 DR. MASSIE: Okay. Let me take that

1 comment back, but I think there is something. I guess
2 my feeling is we don't understand mechanism. I guess
3 in this case we're far from understanding mechanism.
4 If there are convincing cases with two drugs that have
5 this action, I'm beginning to say, "Show me. Prove to
6 me that other agents of this class do not have the
7 action."

8 At some point when there's three sartans
9 that cause liver failure, and we've excluded other
10 things that cause liver failure, tox., alcoholism, and
11 other things, then I think that the balance begins to
12 shift, and if the agency becomes convinced that there
13 are three different agents that do this, I think one
14 has to begin to put into the labeling some concern
15 that many agents with this action have caused liver
16 dysfunction, and as a result of that, you need to be
17 concerned that if your patient gets liver dysfunction,
18 it may be related to this drug.

19 I don't think the enzymes that we're
20 seeing here weight one way or another. I think what
21 you now should be on is alert status, and one more
22 agent that does it makes me think that there is some
23 action of statins that raises concern --

24 PARTICIPANT: Sartans.

25 DR. MASSIE: Sartans.

1 CHAIRPERSON PACKER: Okay. I think
2 everyone on the panel wants to say something, but
3 probably the best way to say it is in answering
4 specifically going through the question.

5 Let me also for the record simply say that
6 the vote on the previous question about reassurance
7 was seven to four, seven gaining some reassurance
8 about the absence of clinically apparent liver
9 disease.

10 Why don't we -- the question to the
11 Committee is: should the reports of post marketing
12 clinically significant different liver disease be
13 treated as drug specific -- and I'm revising this
14 question after recent discussion -- or do they suggest
15 a characteristic, a side effect which may characterize
16 many members of the sartan class?

17 That, I think, gets away from the bias
18 towards identifying a mechanism because we can't do
19 that.

20 Barry, let me ask you to begin -- I'm
21 sorry. Udho, please begin.

22 DR. THADANI: I think the fact that in
23 this database there's no cases of liver toxicity or
24 clinical toxicity, it's very difficult to be
25 absolutely sure, and the fact that you're seeing only

1 in the post marketing database which you haven't seen.
2 I think at the moment my feeling is that we should say
3 that it has been reported with the two statins which
4 are out there.

5 CHAIRPERSON PACKER: Sartans, sartans.

6 DR. THADANI: Sartans which are available.
7 So it could be drug specific, but the fact it happened
8 to two, I think one should raise at least the
9 suspicion level that one has to watch very closely
10 with other sartans that will be coming up.

11 CHAIRPERSON PACKER: Okay. So that
12 there --

13 DR. THADANI: There may be some class
14 effect, but I'm not actually sure because --

15 CHAIRPERSON PACKER: I really want to
16 avoid the term "class effect." I think what we've
17 heard is the more precise term, which is that this
18 might be characteristic of many members of the sartan
19 group.

20 DR. THADANI: I don't think you have
21 enough data to say that.

22 CHAIRPERSON PACKER: More than one.

23 DR. THADANI: It was reported with more
24 than one. There's two at the present time. That's
25 all you could say. Experience with that is very

1 limited.

2 CHAIRPERSON PACKER: Okay. Barry. We're
3 going to go down this way, right.

4 DR. MASSIE: I think I pretty well said I
5 think we're at the status where there may well be such
6 a characteristic effect of this group of agents, and
7 for me it would take one more agent to make more
8 statements, more strong statements.

9 CHAIRPERSON PACKER: Dan.

10 DR. RODEN: Whatever Barry's vote was, it
11 was my vote, too.

12 CHAIRPERSON PACKER: Ileana.

13 DR. PINA: I would keep it drug specific
14 at this point. I'd need to see more cases than the
15 other sartans now available to really say that it
16 extends across.

17 CHAIRPERSON PACKER: Marv.

18 DR. KONSTAM: Yeah, I would keep it drug
19 specific. I don't see any significant support at this
20 point either on the basis of uniformity of action or
21 on the basis of mechanistic concept that would make me
22 suggest even that it was a class effect.

23 However, I would say that it might be
24 prudent nevertheless in labeling to make some comment
25 to say other drugs of this class have shown this. I

1 wouldn't object to that kind of remark.

2 CHAIRPERSON PACKER: Okay. I guess I'm a
3 little bit confused. What I thought I heard Barry and
4 Dan say was they considered this to be a
5 characteristic of more than one member of the class.
6 Ileana said she disagrees with that. I think that
7 that's what you said, and you're saying that --

8 DR. KONSTAM: To answer the question, the
9 question asks drug specific or group specific, and I
10 would stick to drug specific at this point. I don't
11 know what it means or how it helps to say we've seen
12 this with a couple of drugs. I don't see how that
13 helps.

14 I think the question is going to be: do
15 we see any evidence or any rationale for attributing
16 this to the class? And at this point the answer is
17 no.

18 CHAIRPERSON PACKER: The rationale for the
19 question, I think, to the Committee is that as we go
20 forward through the questions, the Committee is going
21 to be asked to recommend a decision about the
22 approvability of tasosartan; and if that is yes, the
23 labeling for tasosartan; and any statements in that
24 labeling that pertain to what data exists about LFTs,
25 and whether those abnormalities are -- does that

1 labeling now mention any other abnormalities with
2 other sartans?

3 Because if it is entirely drug specific,
4 then such labeling need not consider that.

5 DR. KONSTAM: Well, I just guess I have to
6 expand on my answer. I think unless you have either
7 evidence or mechanistic rationale, you cannot jump --
8 you should not jump to say that either a benefit or an
9 adverse effect is class related, and I don't think we
10 have either of those.

11 And so I don't see any evidence for saying
12 that there is an adverse class effect here. I would
13 though add one little caveat, that I wouldn't see
14 anything wrong -- and somebody can say there might be
15 something wrong -- with putting on labeling of newly
16 approved sartans, a comment that says some other
17 sartan caused this, although we don't know that that's
18 a class effect.

19 I wouldn't see any problem with that even
20 though we don't have any evidence for it being class
21 effect. Does that make sense?

22 CHAIRPERSON PACKER: Yes.

23 JoAnn?

24 DR. LINDENFELD: Yeah, I think I agree
25 with Marv. I wouldn't be quite willing to say yet

1 that this is a class effect, and I also think from the
2 data that this drug has more problems -- I'm convinced
3 that it has more problems at least with elevated liver
4 function tests than the other sartans.

5 CHAIRPERSON PACKER: Rob.

6 DR. CALIFF: I pretty much agree with
7 Marvin. I think there is a solution to this problem,
8 but hopefully we'll get to that before dinnertime.

9 CHAIRPERSON PACKER: Yes. Lem.

10 DR. MOYE: Well, to the extent that this
11 question is hypothesis generating, I would say yes.
12 The question is a relevant one, is what was found with
13 this drug, raised the issue, the possibility of a
14 class phenomenon.

15 If the question is can we draw the
16 conclusion that this is a -- I'm sorry. I can't keep
17 track of the right phrase. I'll just say the class
18 phenomenon -- if the question is can we draw a
19 conclusion, then my answer is, no, we can't.

20 CHAIRPERSON PACKER: John.

21 DR. DiMARCO: Well, Bob's presented
22 information that there is liver disease in two drugs
23 which have significant post marketing data. The other
24 two drugs, including this one, we don't really have
25 that large a body of data. So we can't really exclude

1 that they're not going to show the same frequency.

2 So I think that if your statement is
3 characteristics or is a characteristic shown by
4 several members of this class, I'll agree with that,
5 yes.

6 CHAIRPERSON PACKER: Cindy.

7 DR. GRINES: I agree. I agree with John's
8 comments.

9 CHAIRPERSON PACKER: Okay. I think that
10 regardless of how the individual votes came out, the
11 consensus is that the present phenomenon about liver
12 function, clinically significant liver disease, to
13 this point in time should be viewed in accordance with
14 the drugs to which they were reported, but, in fact,
15 a pattern may be emerging, and that pattern may be
16 important with respect to all members of the class,
17 and the data right now are not available to provide
18 any guidance on this. I think that's a fair
19 statement.

20 Number four, in the absence of reported
21 cases of clinically apparent liver disease, what is
22 your interpretation of the data related to observed
23 elevations of hepatocellular enzymes in patients in
24 control trials of tasosartan and the other sartans?

25 Udho.

1 DR. THADANI: I think there's no doubt
2 that the liver function test or the ALT abnormalities
3 occur, which we heard from the experts and my own
4 judgment indicates some liver damage, and I think this
5 is true when the data was provided from the FDA
6 database on other sartans, as well, that it's not just
7 unique to this. The only thing is probably the
8 incidence is higher than the other drugs supported,
9 and the reason possibly could be --

10 CHAIRPERSON PACKER: That's the next
11 question.

12 DR. THADANI: Okay, and so it's there.
13 Now the only question is what is the significance in
14 patients who discontinued it. What would have
15 happened to them I still don't know. So that's part
16 of this question, too, because it said what is your
17 interpretation.

18 So the interpretation is, yes, that these
19 liver function abnormalities are real, and they are in
20 sartans more so here, and the problem is the patients
21 who dropped out because of this. What's the
22 significance of this? Again, we don't know because of
23 the absence of disease.

24 CHAIRPERSON PACKER: Okay. Ray, maybe we
25 can ask for some guidance here. It's clear from the

1 way that this question is phrased that you anticipated
2 that the Committee may have been quite reassured by
3 the absence of clinically apparent liver disease, and
4 this question is being asked to explore, well, with
5 that degree of reassurance how worried are you about
6 the abnormal transaminases that have been reported in
7 the database.

8 Since this Committee is uniformly not very
9 assured about this, is there -- and presumably the
10 increase in LFTs is considered by this Committee to be
11 a real phenomenon -- can we go on to question five?

12 DR. LIPICKY: Yes. Question four was to
13 ask whether you thought it was a real phenomenon.

14 CHAIRPERSON PACKER: Right. Does anyone
15 disagree that this is a real phenomenon?

16 (No response.)

17 CHAIRPERSON PACKER: Five, patients who
18 withdrew from clinical trials of tasosartan are much
19 more likely to have been receiving tasosartan than
20 placebo. This sartan controlled difference in
21 withdrawal rates was larger with tasosartan than with
22 the other sartans. Was the unusually large difference
23 probably the result of chance? Was it instead more
24 likely to have been a consequence of tasosartan
25 investigators' unusually frequent assays of hepatic

1 enzymes? And does it instead suggest tasosartan is
2 more hepatotoxic than the other sartans?

3 And Bob.

4 DR. FENICHEL: Yeah, I just realized that
5 in wording this question I did a grave injustice to
6 the sponsor, and I really want to make this plain.
7 The first sentence of the question should have read,
8 "Patients who withdrew from clinical trials of
9 tasosartan because of liver function abnormalities,"
10 and then the rest of it follows, but the statement as
11 now given is flat out false.

12 CHAIRPERSON PACKER: Okay. Thanks.

13 So now having seen a higher incidence of
14 LFT abnormalities resulting in withdrawal, what is the
15 explanation for it?

16 And the three possibilities that exist --
17 and let me just present them again -- chance; two,
18 sampling and/or duration -- that's not mentioned here,
19 but I think that that's part of sampling -- and,
20 three, that there's a difference between tasosartan
21 and other sartans with respect to their predilection
22 for increased LFTs and/or hepatotoxicity.

23 Udho.

24 DR. THADANI: I'm glad Bob stood up
25 because if you look at the withdrawal rate, overall is

1 not different between placebo and the sartans. So I
2 think that's true for this drug.

3 Now, there is no doubt in my review of
4 this of the fact if you're going to sample patients at
5 every week you're going to have some more
6 abnormalities in the test, and that has something to
7 do with it, although not knowing the investigator
8 threshold.

9 The problem is if you don't have a
10 definite cutoff at three times you have to withdraw
11 and leave to investigator judgment, as it showed some
12 of the patients are going to be stopped even when it's
13 twice normal. So I think that had something to do
14 with it.

15 Whether that explains the difference in
16 the incidence, you know, in this versus other drugs
17 has quite relevance, and same could be true with the
18 longer exposure as well. So from my reading, I think
19 both had some -- quite a bit of role to play. Unless
20 you do have comparison with frequent labeling with a
21 comparative drug, you can't answer the absolute
22 question, the last part, does it suggest.

23 So I don't believe that the data I've seen
24 that I could conclude this is a larger incidence,
25 although if you look at the post marketing phase or

1 the open label studies where the frequency of
2 measurement was probably every three months, as we've
3 been led to believe, or not every week, in some of the
4 studies the incidence was somewhat higher than
5 reported.

6 So I think those are my remarks.

7 CHAIRPERSON PACKER: I guess to look at
8 this question, and, Ray, there's a possibility that
9 members of this Committee will not be able to pick one
10 of these three answers or may want to pick more than
11 one or may want to say that they either need more data
12 or just don't know. So I guess we need to include
13 that as possibilities.

14 DR. LIPICKY: I guess so, but I'm not sure
15 why there's some difficulty with it. In four you
16 basically said you're sure there is a phenomenon
17 documented in the data. This simply is asking that
18 same thing sort of, you know, is there a phenomenon
19 documented in the data, but it's coming at it from the
20 point of view of dropouts, and it's asking about
21 placebo controlled trials and positive controlled
22 trials and whether the dropout rates in those trials,
23 in fact, differentiated tasosartan from placebo and/or
24 the positive control.

25 And then it asks -- and maybe the thing to

1 do is to say yes or no to that, and then to ask the
2 question: is there some non-tasosartan property that
3 could have caused it to be differentiated? I mean
4 that's all that that's asking, I think.

5 CHAIRPERSON PACKER: Marv.

6 DR. KONSTAM: You know, Ray, the sponsor
7 is claiming to have done an analysis that indicates
8 that all of the difference between LFT abnormalities
9 and, therefore, to some extent the dropouts is
10 explainable on the basis of the higher sampling rate
11 of LFTs.

12 DR. LIPICKY: No. What they're claiming
13 is that they look like the sartans.

14 DR. KONSTAM: Right, right.

15 DR. LIPICKY: Across studies and stuff
16 like that.

17 DR. KONSTAM: Agreed.

18 DR. LIPICKY: They do not claim that their
19 studies did not differentiate tasosartan from placebo
20 on the basis of --

21 DR. KONSTAM: Okay. Agreed, and we're
22 saying -- I'm sorry.

23 DR. LIPICKY: Okay?

24 DR. KONSTAM: I agree.

25 DR. LIPICKY: And nor do they claim that

1 it did not distinguish tasosartan from the positive
2 control trials.

3 DR. KONSTAM: Agreed. But so the question
4 relates to differences between tasosartan and other
5 sartans.

6 DR. LIPICKY: Correct.

7 DR. KONSTAM: And so in that regard the
8 sponsor is suggesting that it's explainable on the
9 basis of the sampling rate.

10 DR. LIPICKY: Right.

11 DR. KONSTAM: When someone asked you, you
12 know, does the agency concur with that analysis, your
13 answer was we really haven't done that analysis
14 sufficiently to concur or not concur.

15 DR. LIPICKY: Well, no, and there was an
16 unspoken answer to that also. I don't care.

17 DR. KONSTAM: You don't care?

18 DR. LIPICKY: Yeah. I'm only concerned
19 with whether in this set of data tasosartan
20 distinguished itself from something.

21 DR. KONSTAM: Well, but --

22 DR. LIPICKY: I don't care whether in
23 going across studies --

24 DR. KONSTAM: But in my mind --

25 DR. LIPICKY: -- it makes much difference.

1 DR. KONSTAM: Well, but I think this gets
2 to the heart of this question because in my mind and
3 maybe other members of the panel, there is the
4 possibility that the distinction, apparent
5 distinction, between tasosartan and losartan, for
6 example, is a function of differences in the protocol
7 design, and I'm not sure about that.

8 Now, that's not --

9 DR. LIPICKY: You mean across studies, not
10 within the studies.

11 DR. KONSTAM: Correct, correct.

12 DR. LIPICKY: Right. Well, cross-study
13 comparisons is not what this question is directed
14 toward.

15 CHAIRPERSON PACKER: Okay. Let me --
16 there are two databases that pertain to this question.
17 First is a database consisting of placebo controlled
18 trials with tasosartan and with other sartans.

19 DR. LIPICKY: Right.

20 CHAIRPERSON PACKER: And that database in
21 order to answer this question, one would be mentally
22 comparing the placebo corrected event rates on
23 tasosartan versus the placebo corrected event rates on
24 other sartans, and if one does that analysis, Marv's
25 point pertains.

1 There's another database that the sponsor
2 has presented which the FDA has not seen, which is a
3 direct comparison of tasosartan and other sartans,
4 which is not placebo controlled.

5 DR. LIPICKY: Right.

6 CHAIRPERSON PACKER: That database then
7 could be used to answer this question as well.

8 DR. KONSTAM: But it's a small n. But
9 that database has a small n relative to the entire
10 tasosartan database.

11 DR. LIPICKY: Right.

12 CHAIRPERSON PACKER: All right. So do you
13 want us to use our judgment as to which database to
14 use or would you like us to focus the direct
15 comparisons?

16 The advantage of the direct comparisons is
17 that they are direct comparisons and don't require --
18 they correct for all of the assumptions in sampling
19 and duration, but they're small.

20 The placebo controlled is a larger
21 database, but there are different trials, maybe even
22 different patient characteristics, and it's hard to
23 compare across trials, and everyone knows the problems
24 in doing that.

25 So do you want us to use our judgment

1 between those two databases in answering this
2 question?

3 DR. LIPICKY: Sure.

4 DR. KONSTAM: Well, that then comes back
5 to my point, which is that I don't think we have the
6 data to answer it.

7 DR. LIPICKY: Fine.

8 (Laughter.)

9 DR. LIPICKY: I mean that's an answer.

10 DR. KONSTAM: Or the analysis to answer
11 it.

12 DR. LIPICKY: Right. That's an answer.

13 CHAIRPERSON PACKER: Okay. Let me try to
14 make life easy here. I'm going to try. How many
15 members on the Committee think that the observed
16 difference in the withdrawal rates between tасosartan
17 in its placebo controlled trials and the other sartans
18 in their placebo controlled trials is a result of
19 chance?

20 DR. LIPICKY: Why don't you take them one
21 at a time?

22 CHAIRPERSON PACKER: One at a time.

23 DR. LIPICKY: Placebo controlled and then
24 the other is not placebo controlled.

25 DR. CALIFF: I think I've heard the panel

1 say that we don't have the data to answer the question
2 that you asked. We just don't know.

3 DR. THADANI: We haven't seen the other
4 database.

5 DR. LIPICKY: Well, but I guess I don't
6 understand that answer. That answer says that the
7 medical review was wrong, that there was not a
8 differential dropout rate between placebo and --

9 DR. KONSTAM: No, you're asking a
10 different question than the one Milton just asked.
11 Your question is within the placebo controlled trial
12 with tasosartan is there a difference in the dropout
13 rate.

14 DR. LIPICKY: That is what this question
15 is oriented toward answering.

16 DR. THADANI: No, no, it says other
17 trials.

18 DR. MASSIE: This is two questions.

19 DR. KONSTAM: Right. If you ask us one
20 question at a time, I think we can --

21 DR. LIPICKY: Well, that's what --

22 DR. KONSTAM: I thought Milton did ask one
23 specific question, which was across drugs, and I think
24 that the panel feels that there's not enough evidence
25 to draw.

1 The conclusion about tasosartan versus
2 placebo would be a different question, and it may be
3 worthwhile answering that.

4 CHAIRPERSON PACKER: But the conclusion
5 about tasosartan versus placebo is apparently not
6 being asked because it is a phenomenon which has been
7 observed. In other words, let me try to summarize
8 what I think people are saying.

9 Tasosartan has more LFT abnormalities than
10 placebo, period. There are more withdrawals because
11 of tasosartan because of LFT abnormalities than
12 placebo, period.

13 The question now is whether the LFT
14 abnormalities, particularly those leading to
15 withdrawal, which were -- if you look at that number,
16 it is higher than the number of LFT abnormalities
17 leading to withdrawal in the other sartan databases.

18 DR. THADANI: Un-huh.

19 CHAIRPERSON PACKER: Okay. Is that a
20 phenomenon which is related to sampling and/or
21 duration, or can you conclude or is there evidence to
22 suggest that there is actually a true difference
23 between tasosartan and other sartans in terms of the
24 predilection to cause LFT abnormalities? Right, Ray?

25 DR. CALIFF: And I think everyone has

1 agreed with everything you said when you posed the
2 question, and the three possible answers, yes, no, or
3 we can't answer it because we don't have enough data.

4 CHAIRPERSON PACKER: That's correct, and
5 let's do that.

6 DR. CALIFF: Right.

7 DR. KONSTAM: Can I just say one
8 difference? There may be enough data if the analyses
9 were done. In other words, it might be possible to
10 look at the various data sets of the various sartans
11 and do modeling such as the sponsor did or some more
12 detailed analysis to shed light on this question. It
13 won't resolve it completely, but it might be possible
14 to do that with the data that exists.

15 CHAIRPERSON PACKER: Charlie Ganley?

16 DR. GANLEY: Yeah, I may be able to shed
17 some light on losartan's frequency of getting labs,
18 and I gave the information to Bob. I'm not sure if he
19 included it in his document, but in their active and
20 placebo controlled trials, blood tests were usually
21 obtained in the treatment period either at the middle
22 -- if it was an eight week trial, it would get it at
23 four and eight weeks. It was never done on a weekly
24 basis. So it was either done two times, for example,
25 in an eight week trial or at the end of the trial.

1 In the open label studies, there was
2 really no difference. I had talked to Dr. Klaje about
3 it. There was no difference in the frequency of
4 obtaining labs in the open label studies.

5 CHAIRPERSON PACKER: Okay. I think we
6 have the question to the Committee, and the question
7 to the Committee is: there is an observed dropout
8 rate from LFT abnormalities in the tasosartan placebo
9 controlled trials which is numerically larger than the
10 dropout rate for LFT abnormalities in the placebo
11 controlled trials with other sartans. What is the
12 explanation or what do you think is the explanation
13 for this difference?

14 Is it the play of chance, you know, these
15 differences can occur? Two is do you think that it's
16 because of the difference in study design. Three, do
17 you think that tasosartan is truly more likely to
18 cause LFT abnormalities, specifically those requiring
19 withdrawal, than the other sartans? Or, four, you
20 don't know.

21 Okay, and we'll take a vote, and let's
22 start with Barry.

23 DR. MASSIE: I think the answer is at
24 least in part it's due to the study design, and I
25 don't know whether the drug is more hepatotoxic than

1 other drugs because I can't distinguish it from the
2 play of chance.

3 CHAIRPERSON PACKER: Dan?

4 DR. RODEN: I agree with Barry.

5 CHAIRPERSON PACKER: Ileana?

6 PARTICIPANT: Whatever that vote was.

7 CHAIRPERSON PACKER: He agreed with Barry.

8 Barry -- I think to summarize what Barry has said is
9 that he is persuaded that part of it may be related to
10 design issues, and the other part he is uncertain
11 about. It may be chance, it may be numbers.

12 Did I say that correctly?

13 DR. MASSIE: Yeah. I guess that I tried
14 to vote on two questions. One, I'm convinced part of
15 it is due to the design, but the more important
16 question you're asking all of us is is it hepatotoxic,
17 and my answer is I can't tell from the data available.

18 CHAIRPERSON PACKER: Okay, and Dan said he
19 agrees with Barry.

20 Ileana?

21 DR. PINA: I agree with Barry, too.

22 CHAIRPERSON PACKER: Udho?

23 DR. THADANI: As I said earlier, I think
24 it's probably study design. You could address that
25 issue easily if you could look at how many withdrawals

1 occurred at week four, week eight in the two
2 databases. I'm sure there are statistical way to look
3 at it, and if you find the withdrawal rate is much
4 higher in the first four weeks, then you could say it
5 was the study design. If it's not, then you could
6 come to the conclusion it would be the drug, and this
7 only applies to placebo control.

8 Now, if you look at the open label
9 studies, then what we have been given is I think it
10 seems to be a bit higher level. Whatever the reason
11 I don't know. Again, we have to look at other
12 database.

13 CHAIRPERSON PACKER: Marv?

14 DR. KONSTAM: I'm just going to leave it
15 at I don't know.

16 DR. LINDENFELD: I agree. I just don't
17 think we have the data to know.

18 DR. CALIFF: Ditto.

19 DR. MOYE: The best I can say is study
20 design.

21 DR. DiMARCO: I think the data are not
22 comparable. So I don't know.

23 DR. GRINES: I think the study design
24 plays an important role, but I'm not 100 percent
25 convinced.

1 CHAIRPERSON PACKER: Okay. I think the
2 answer is that the Committee is uniform in saying that
3 there may have been or some members are convinced
4 there is a contribution of study design, but there is
5 a big unknown factor which weighs heavily on the minds
6 of all members of the Committee. No member of the
7 Committee specifically believed that tasosartan was
8 likely to be more hepatotoxic than other sartans.

9 Number six, assuming that tasosartan's
10 antihypertensive efficacy is beyond challenge -- we as
11 a Committee should assume that -- should tasosartan be
12 approved for the treatment of hypertension, and if
13 not, what sort of new study results should provide
14 sufficient reassurance to permit approval?

15 Let us leave the second part aside. We
16 need a vote on antihypertensive efficacy. We need a
17 vote on approvability. Generally speaking this is a
18 yes or no vote.

19 DR. THADANI: Regarding that --

20 DR. RODEN: May I ask a question of the
21 agency? If we believe that this compound has a
22 potential for hepatotoxicity and that potential is
23 probably no better or no smaller than the now newly
24 recognized potential potential for other drugs of
25 similar mechanism of action, are we obliged -- I mean,

1 how do we factor that into the decision to recommend
2 approval or not?

3 Are we holding the same --

4 DR. LIPICKY: I can make --

5 DR. RODEN: -- standard as before or --

6 DR. LIPICKY: I can make it fairly simple
7 if you'd like.

8 DR. RODEN: That's the best way.

9 DR. LIPICKY: I think that if there is
10 suspicion that there may be real hepatotoxicity that
11 is tasosartan, that's the thing that you're
12 considering. You're not considering whether you want
13 to take losartan off the market. Okay? You're
14 considering whether you want to approve tasosartan.

15 That there's some real chance of
16 hepatotoxicity, my thought would be if I were you that
17 I would say it is not approvable on that basis.

18 Now, I point out that a number of years
19 ago when lobetalol was approved, the Committee members
20 were fully aware that it was a hepatotoxic, and said,
21 "Approve it, but draw bloods once a month and measure
22 enzymes, and if enzymes go up, stop it."

23 The scenario at that time was that that
24 was a totally new chemical entity that was a beta
25 blocker/alpha blocker, and it was one of the more

1 recent new innovations in antihypertensive therapies.
2 So that's sort of what surrounded that scenario.

3 And so I guess the third alternative is to
4 not just say draw samples, but to tell the agency they
5 ought to put this in a black box, and that's a big
6 deal because then all promotion -- you know, it can't
7 hand out pencils and little note pads. You have to
8 give full labeling with all advertising, and you put
9 the black box in the labeling, and then there's no
10 casual promotion.

11 CHAIRPERSON PACKER: Ray, as I understand
12 it, the purpose of question six as opposed to question
13 seven, seven allows the Committee to explain. If the
14 vote on six --

15 DR. LIPICKY: Right.

16 CHAIRPERSON PACKER: -- were to be yes,
17 seven allows the Committee to then say, "Yes, but."

18 DR. LIPICKY: Right.

19 CHAIRPERSON PACKER: But you can't get to
20 seven unless you think that --

21 DR. LIPICKY: Unless you do six, but I was
22 just trying to make the decision making simple so you
23 knew you could say yes to approve and then do
24 something later, or if you really thought there was a
25 problem, to say no to approve, or, in fact, you could

1 say yes to approve and you don't think there's any
2 problem at all, and there'd be no labeling at all.

3 The Advisory Committee that looked at
4 dilevolol before the agency acted in its wisdom said,
5 "Don't put anything in labeling on the liver at all."

6 CHAIRPERSON PACKER: Marv.

7 DR. KONSTAM: Milton, I'd like to suggest
8 that actually we do consider both parts of question
9 six together, and the reason is, you know, in my
10 thinking and maybe other panelists I think the issue
11 of approvability or not approvability ought to carry
12 with it some kind of notion of, well, what would you
13 advise if it were not approved.

14 If the answer is, "I have no idea," I
15 think that's different than if you had some kind of
16 thought about what would make it approvable, and I'd
17 like to see that discussion together.

18 CHAIRPERSON PACKER: You see, the problem
19 with separating the questions is that it doesn't allow
20 for a very important discussion to take place, which
21 is, I think, the discussion that Rob would like to
22 have, which is is this the kind of database that one
23 should be presenting for the approval of an
24 antihypertensive drug, period.

25 Now, Rob hasn't said that, but he has said

1 everything but that, and I think that there is a real
2 important lesson to be learned by separating these
3 two. One can be assured that, given the tenor of the
4 Committee's deliberations, that seven will not be
5 nothing. Seven will be something, and I think seven
6 will be something that will vary according to the
7 Committee's opinions.

8 But, no, six only says what additional
9 evidence if you say no. So what really this should be
10 is six says should the drug be approved. Six (a),
11 which is the sub-question, is if not, what else do
12 they need to do, and seven really is 6(b), which is if
13 yes, what does the labeling say, which addresses all
14 of the other issues about labeling, post marketing
15 studies, et cetera.

16 You need to separate the two questions.

17 Udho, yes or no?

18 DR. THADANI: I want to make some
19 clarifications. There's no doubt the drug is
20 antihypertensive. So if you're just approving the
21 drug for lowering the blood pressure, the answer would
22 be yes, but we don't have anymore data. I think
23 that's Califf's point.

24 Now, so I think it's approvable, but I'll
25 have to put a lot of caveats to it.

1 CHAIRPERSON PACKER: This question only
2 works if you say yes or no. You can discuss anything
3 you want before yes or no, but it has to be yes or no.

4 DR. THADANI: So you want the answer first
5 and then the discussion?

6 CHAIRPERSON PACKER: You can do it any
7 order you want.

8 DR. THADANI: Okay. So I think I'd like
9 to start with the discussion. I think you'll have to
10 put a lot of issues. From my review the drug does
11 lower blood pressure. We don't have any idea about
12 the mortality effects or morbidity effects. It did
13 not cause hepatic dysfunction, but I'm worried about
14 the fact that it had a normal liver function test
15 which in the protocol were done on a weekly basis,
16 whatever the issue is. So I think we have to --

17 CHAIRPERSON PACKER: Okay. That's
18 question --

19 DR. THADANI: So the answer is approvable.

20 CHAIRPERSON PACKER: That's question
21 number seven.

22 DR. THADANI: Okay, okay.

23 CHAIRPERSON PACKER: Question number six
24 is: do you recommend that the drug be approved for
25 the treatment of hypertension, yes or no?

1 DR. THADANI: I'm going to say yes.

2 CHAIRPERSON PACKER: Cindy?

3 DR. GRINES: Yes.

4 DR. DiMARCO: Yes.

5 DR. MOYE: No.

6 DR. CALIFF: Can I have a moment?

7 CHAIRPERSON PACKER: Yeah, you can say
8 anything you want as long as you vote yes or no.

9 DR. CALIFF: I'm going to vote yes, but
10 the only reason is because this is every bit as
11 miserable as every other antihypertensive database
12 that we've seen.

13 DR. MOYE: Well, then why are we compelled
14 to repeat the mistakes of the past?

15 DR. CALIFF: Well, I want to comment on
16 that. I think what the Committee has said after all
17 this discussion is that we're convinced that there is
18 LFT abnormality. We don't know the clinical
19 significance of it, and we don't even know if it's
20 different than the other sartans that have already
21 been approved.

22 As a matter of public policy I'm
23 generically opposed to punishing an individual entity
24 at an arbitrary point in time unless there is a
25 general policy decision made that equally affects

1 people that are in a very competitive business
2 environment.

3 To the general question of should we
4 change the rules for hypertension approval, the
5 solution to this problem is obvious, that if you did
6 an outcome study and showed whatever the size it took
7 that you reduced total mortality, stroke and heart
8 attack, any rate of LFT abnormality would be okay if
9 in the balance it was outweighed by the benefit in
10 terms of reduction of the reason that we use the drugs
11 in the first place.

12 Lacking that in this case, as in all
13 others, I would vote yes.

14 CHAIRPERSON PACKER: JoAnn.

15 DR. LINDENFELD: I'm going to vote yes.

16 DR. KONSTAM: I'm going to vote no, and I
17 would say, first of all, that I'm not convinced that
18 based on what we've see, that it's a uniquely
19 efficacious antihypertensive agent. So it may be, but
20 I'm just not convinced of it from the data that we see
21 to this point.

22 And in light of that, I continue to be
23 concerned with the LFT abnormalities, and I'm going to
24 slip in a comment on 6(b) because it goes into my
25 rationale about voting no, which is if I saw a

1 convincing trial with a large enough n that indicated
2 that the signal of LFT abnormalities was no greater
3 with tasosartan than it was with, say, losartan, then
4 I might not be reassured that there's no significant
5 hepatotoxicity, but I would be reassured that the
6 signal is no different than other sartans, and that
7 would, based on the experience that exists out there
8 with other sartans, would permit me to think of
9 approvability.

10 So my answer is no.

11 CHAIRPERSON PACKER: Ileana.

12 DR. PINA: I'm going to vote yes, and I'll
13 save my comments for when we come to question seven.

14 CHAIRPERSON PACKER: Dan.

15 DR. RODEN: Yes.

16 CHAIRPERSON PACKER: Barry?

17 DR. MASSIE: I'm going to vote yes, as
18 well. Just a couple of comments. This is a little
19 bit going against what Ray's instructions to us as a
20 jury in the beginning because I have a lingering doubt
21 that it might be more hepatotoxic than other agents,
22 but it's a real lingering doubt, and I'm really
23 concerned about Bob's elegantly phrased paragraph on
24 perverse incentives, and in that sense I agree with
25 Rob's comments about trying to maintain a constant

1 standard.

2 In fact, if we want to know about LFTs in
3 sartans and we discourage their measurement, we might
4 not get the answer until we have a lot of people who
5 are dead.

6 CHAIRPERSON PACKER: My vote is yes
7 actually for reasons very similar to Rob's, and I
8 think that the concept of creating perverse incentives
9 here is an important issue.

10 DR. KONSTAM: Can I comment on that? I
11 think if you encouraged more direct comparative
12 studies, I think you would not get into the problem of
13 adverse incentives. I think if we had a bit enough --
14 I mean, my only problem about the losartan comparison
15 is that it wasn't big enough. So if you had enough
16 direct head-to-head comparison, I think in this sort
17 of situation where you have other agents in the same
18 class and there is a possibility that you're
19 overseeing it because of a difference in the protocol,
20 you could solve that problem by doing head to head
21 comparisons.

22 CHAIRPERSON PACKER: Yeah, but that solves
23 only one dimension.

24 DR. KONSTAM: Well, but it's an important
25 one.

1 CHAIRPERSON PACKER: The real issue here
2 is is this drug hepatotoxic, not is it more
3 hepatotoxic than any other drug, and if it is
4 hepatotoxic, how does that factor into your
5 calculation of risk to benefit relationships --

6 DR. KONSTAM: I agree.

7 CHAIRPERSON PACKER: -- for lowering blood
8 pressure.

9 DR. KONSTAM: I agree, but the issue
10 before us is a signal. Okay? It's not clinical
11 hepatotoxicity because we don't see any clinical
12 hepatotoxicity. All we see is a signal, and we're not
13 sure what the signal means.

14 And if we knew that that signal were no
15 higher than the signal that really exists for other
16 drugs that have two million patient-years, then that
17 would make me more comfortable that the signal is not
18 that important.

19 DR. LIPICKY: Well, we'll need to take
20 this up sometime, I guess, in the near future, but I
21 don't understand what people are talking about
22 because, you know, this business of comparing drugs in
23 this area, you know, are 40 and 80,000 patient trials,
24 and on top of that, there's no positive control that
25 I can know of using.

1 I guess 25 milligrams of reserpine once a
2 day and 200 milligrams of hydrochlorothiazide would be
3 a good positive control, you know, and so it's unclear
4 to me exactly what people are referring to or what the
5 allusions are toward.

6 I understand what the orientation is and
7 why one wants it, but I don't think you can find out
8 whether this liver toxicity is real or unreal and
9 whether it's like other sartans or not like other
10 sartans outside of, you know, a very, very large
11 control trial, some 20, 40,000 patients, I should
12 imagine.

13 CHAIRPERSON PACKER: Yeah, I actually
14 think that that relates to number seven. So let's
15 move to number seven and I think we'll answer your
16 question.

17 And the vote was nine to two in favor of
18 approval for hypertension.

19 Okay. Question seven can be quite long
20 and time consuming, and I just want to remind the
21 Committee that the cafeteria closes at two o'clock.

22 (Laughter.)

23 CHAIRPERSON PACKER: So there are many
24 components to number seven, and let me say that there
25 is a component of post marketing study. There is a

1 component of monitoring, and there is a component of
2 language about the effect on the liver, which may or
3 may not refer to other sartans, which is the specific
4 question for number eight.

5 Let's take those in reverse order, and
6 what I really would like the Committee first to say is
7 what should the labeling say about the effect of the
8 drug on the liver specifically with respect to
9 tasosartan or with respect to other sartans. Let's
10 not deal with monitoring, and let's not deal with post
11 marketing studies.

12 Ray, your question was on post marketing
13 studies or conceptually even premarketing studies if
14 the Committee felt it was necessary.

15 DR. LIPICKY: Well, no, I think you've
16 answered that.

17 CHAIRPERSON PACKER: Well, we said yes.

18 DR. LIPICKY: You've already said approve
19 it.

20 CHAIRPERSON PACKER: We did say that.

21 DR. LIPICKY: You didn't say wait.

22 CHAIRPERSON PACKER: That's correct.

23 DR. LIPICKY: So I think this is post
24 marketing.

25 CHAIRPERSON PACKER: Okay. Udho.

1 DR. THADANI: Yeah, I think there's little
2 doubt that tasesartan does produce abnormalities on
3 the liver functions as by ALT and AST do increase in
4 patients exposed to this drug, and the placebo
5 controlled studies, patients were discontinued from
6 the medication because of LFT abnormalities, i.e.,
7 levels two or three times normal, and so the labeling
8 will have to say that, that the drug causes
9 abnormalities in enzymes, liver enzymes, which
10 necessitated discontinuation of the drug in X number
11 of patients, and that has to be followed in the
12 instructions to the physicians who are going to
13 prescribe it. So I think that should go in the
14 labeling as far as I'm concerned.

15 CHAIRPERSON PACKER: Barry.

16 DR. MASSIE: Yeah, I think we did vote
17 that this drug does seem to be associated with more
18 abnormalities of liver enzymes than placebo, and I
19 think that needs to be in the labeling as a result.

20 I would also say that in the relatively
21 limited experience, there's no evidence of clinical
22 liver disease, and then I would add another sentence
23 which says that other sartans have been associated
24 with hepatic failure and sometimes fatal, and I would
25 put all of that in the labeling.

1 CHAIRPERSON PACKER: But, Barry, if you
2 say that, that other sartans have been associated,
3 you're doing two things. One is you're taking the
4 threshold for Bob Fenichel's survey up to the level of
5 reality, and --

6 DR. MASSIE: Well, I think it has to be
7 confirmed. I'm sorry. None of us has seen the data
8 that Bob is talking about.

9 If the agency is convinced that other
10 sartans have been associated with liver failure, I
11 think that belongs in the same paragraph of labeling.
12 If the agency is not yet convinced of that, then it
13 shouldn't say it.

14 CHAIRPERSON PACKER: Other discussion on
15 this issue?

16 What I'm doing is as everyone's speaking
17 formulating certain points that everyone would like to
18 see, and then we'll take a common vote on all of that.

19 So far the points that would be included
20 in labeling would be that the drug increases LFTs and
21 would mention how frequently; two, that in the
22 clinical trials done to date there have been no signs
23 of clinically symptomatic liver disease; three, that
24 there have been reports of clinically significant
25 liver disease with other sartans, if that's confirmed;

1 and I'm going to anticipate this, that data are
2 lacking at the present time that despite the absence
3 of clinically significant liver disease, that this
4 drug is not hepatotoxic or different in its
5 hepatotoxicity from other sartans.

6 DR. THADANI: Also I said that the drug
7 was withdrawn in a certain number of patients because
8 of liver function abnormality.

9 CHAIRPERSON PACKER: Yeah, okay.

10 DR. THADANI: That has to be stated, I
11 think.

12 CHAIRPERSON PACKER: Okay. That would be
13 in the initial line.

14 DR. THADANI: Right, yeah.

15 CHAIRPERSON PACKER: So let me make sure
16 that I have all of these points. First, that the drug
17 has been associated in increase in transaminases which
18 have led to withdrawal of a certain percentage of
19 patients; that these increases in transaminases have
20 not been associated to date with clinically
21 symptomatic liver disease. However, the data are
22 lacking as to what the effects of this drug will be on
23 the risk of clinically significant liver disease in a
24 broader population or with longer experience or in
25 real life situations. One can craft the language in

1 a regulatorily acceptable fashion.

2 That there have been reports of clinically
3 symptomatic liver disease with other sartans, and the
4 data are not available as to whether this drug is any
5 different than the other sartans in that respect.

6 DR. THADANI: I think you probably want to
7 put another caveat. In the patients in whom the drug
8 was not withdrawn, it has not been associated with
9 liver disease.

10 CHAIRPERSON PACKER: Oh, the goal here is
11 not to wordsmith.

12 DR. THADANI: Okay. Very good.

13 CHAIRPERSON PACKER: I just want to hit
14 the highlights.

15 DR. DiMARCO: I think that Udho is
16 bringing up a point, that you have to mention two
17 factors. One is are you going to monitor for these,
18 and what do you do if you get a sign, and I think so
19 you have to mention --

20 CHAIRPERSON PACKER: Yeah, yeah, yeah.

21 DR. DiMARCO: -- that some of these are
22 transient.

23 CHAIRPERSON PACKER: That's the second
24 question, second question. Okay?

25 DR. DiMARCO: But you have to say that

1 some of these may be transient and resolve on their
2 own, whereas some may persist.

3 CHAIRPERSON PACKER: Okay. I'm going to
4 try again. Maybe I'll succeed. Yes, Ileana?

5 DR. PINA: I would add actually the
6 percentages if possible of elevations because some
7 clinicians may see two times elevations and say,
8 "Well, I wouldn't consider that significant," and
9 somebody else may. So I would specify the level of
10 elevation.

11 CHAIRPERSON PACKER: Okay. Let me try
12 again. I'm looking up and down.

13 That there have been reports that in
14 clinical trials with this drug there has been a
15 certain incidence of LFT abnormalities; that in, let's
16 say, the majority of cases the LFT abnormalities were
17 a certain height, three times greater than normal;
18 that in the majority of cases these increases were
19 transient, but in some cases led to withdrawal of the
20 drug, in a certain percentage of cases; that there
21 were no signs of clinically symptomatic disease.
22 However, there have been reports of clinically
23 symptomatic disease with other sartans, and the data
24 are not available to distinguish this sartan from
25 other sartans in terms of whether the risks are

1 greater, the same, or less.

2 DR. THADANI: That's okay.

3 CHAIRPERSON PACKER: Does anyone disagree
4 with that?

5 (No response.)

6 CHAIRPERSON PACKER: Let's go on to the
7 next question. Monitoring: what will we recommend
8 for monitoring?

9 Does anyone think that no monitoring
10 should be done?

11 (No response.)

12 CHAIRPERSON PACKER: Okay. Does anyone
13 want to propose, Udho, a monitoring schedule?

14 DR. THADANI: I think I'd really like to
15 see the -- I think you have to look at the database,
16 how the patients were withdrawn, at what week, because
17 if you go by the study design and the placebo
18 controlled study monitoring, you have to say it's
19 every week because, you know, we paid a lot of
20 attention to it. Now you live by it, and I don't know
21 if I saw the enzyme level twice or three times normal
22 at week one I might withdraw. It might be a blip, but
23 I don't know.

24 So I think I would really like -- I
25 haven't seen the detailed data, but each week of

1 enzymes, and given the database, you're almost stuck
2 here that it should be frequent monitoring because I
3 really don't know.

4 I may be wrong, but I think if they could
5 say that LFT abnormalities at month one are no
6 different than at week two or month two and three,
7 then I think FDA should be given some leeway to adjust
8 to that.

9 CHAIRPERSON PACKER: As I understand it,
10 the FDA in the past has been very nonspecific about
11 its monitoring guidelines and has used the word
12 "periodically."

13 DR. THADANI: Yeah, but I --

14 CHAIRPERSON PACKER: To describe
15 monitoring.

16 DR. THADANI: Yeah. My concern here is
17 that there were some patients that were withdrawn, and
18 the withdrawal rate probably is slightly higher, and
19 that was driven by the LFT abnormalities, and I don't
20 know if LFT abnormalities at month one-two versus week
21 one and two. Then I think one would like to look at
22 the database and decide on that and just rather than
23 showing a very weak statement, do whatever you want.
24 I just want more reassurance the patients who are
25 withdrawn wouldn't run into trouble because that's the

1 last thing a physician wants to do, is let the patient
2 develop jaundice. It may be a minority, but I think
3 one should put a caveat there as far as I'm concerned.

4 CHAIRPERSON PACKER: Ileana?

5 DR. PINA: I think the reality is that the
6 physicians are not going to monitor this frequently,
7 and they're not going to give an antihypertensive
8 agent to a patient who's otherwise doing well and
9 bring them back every week. I can just see the health
10 care organizations telling you that you can't do LFTs
11 on a weekly basis.

12 But I do think that we can include the
13 timing after dosing or after exposure to the drug that
14 the LFTs were most likely to be elevated, and then
15 allow the clinician to do a serum transaminase at that
16 time and allow the clinician the free rein to do so.

17 But I think we should give them an
18 approximate time at which the elevations were seen,
19 whether it was six weeks, eight weeks or three months
20 after exposure to the drug.

21 CHAIRPERSON PACKER: Again, for the sake
22 of time let me suggest the following. Since it
23 appears as if from the clinical database that exists,
24 as well as some of the post marketing data that the
25 period of vulnerability here is within the first two

1 months or is it longer or do we not know?

2 DR. THADANI: I think it is time dependent
3 from the database we have seen because your incidence
4 on open label was a bit higher. I realize there are
5 problems there.

6 CHAIRPERSON PACKER: Yeah.

7 DR. THADANI: So not only the -- it's
8 duration dependent, too, because the studies do not
9 show as much. So I think it's both time dependent
10 there as well.

11 CHAIRPERSON PACKER: Okay. Ray?

12 DR. THADANI: So I think it would be nice
13 to know from the database.

14 DR. LIPICKY: It really does depend on the
15 specific drug that you're talking about, and it's not
16 clear to me since we haven't seen any evidence of
17 liver disease in this data base that there is any
18 basis for, if you want to be data dependent in your
19 recommendation, that there is any basis for making a
20 recommendation.

21 If you don't want to be data dependent,
22 you can make a recommendation.

23 DR. THADANI: You only brought in the
24 patients who were dropped. You don't know whatever
25 happened to them had they not been dropped.

1 DR. LIPICKY: I just said there's no
2 data --

3 DR. THADANI: No data.

4 DR. LIPICKY: -- upon which you can base
5 your recommendation.

6 DR. THADANI: Sure.

7 DR. LIPICKY: You have to make it data
8 independent.

9 CHAIRPERSON PACKER: Barry, then Dan.

10 DR. MASSIE: Yeah. I missed my chance to
11 raise my hand and say I didn't want monitoring. I
12 don't know how we can recommend monitoring here. I
13 would like to recommend a post marketing surveillance
14 study that includes measurements in, you know, a
15 certain number of patients that we could then
16 associate with some sort of clinical outcome, a large
17 number.

18 But to pick a time and say, "Draw LFTs,"
19 based on what we know here, I don't know how I could
20 recommend that.

21 DR. PINA: I want to clarify. I'm not
22 saying put in there, "You must draw bloods," or, "you
23 should draw bloods." I would just give them a time
24 period based on the data, and then let the clinician.

25 I agree that I think we need post

1 marketing studies.

2 DR. LIPICKY: But what data would you use?
3 We have no people who got clinically sick, and you all
4 are saying approve it because you don't know if the
5 liver enzyme elevations mean anything. So what data
6 would you use?

7 DR. PINA: I would use the elevation of
8 ALT, the three plus where our consultants here told us
9 that they may start to be concerned.

10 CHAIRPERSON PACKER: Ray, what do you want
11 to hear from us in this regard? I think it sounds as
12 if what we would like to be able to do is to inform
13 physicians about what is known about the time course
14 of this.

15 DR. LIPICKY: Fine. I think we have heard
16 enough to be honest.

17 CHAIRPERSON PACKER: Okay. Good.

18 (Laughter.)

19 CHAIRPERSON PACKER: Post marketing
20 studies. How many of you would suggest that there
21 should be a post marketing study? Does anyone say
22 that there should not be a post marketing study?

23 DR. MASSIE: Can I ask what the post
24 marketing study would accomplish?

25 CHAIRPERSON PACKER: What would a post

1 marketing study accomplish? Well, depending on how it
2 was designed, it could define the incidence of LFT
3 abnormalities in the general population, and it could
4 follow up on those abnormalities and see the extent of
5 clinically significant liver disease with a very large
6 n.

7 DR. MASSIE: I think that's a reasonable
8 answer. On the other hand, I think it will be very
9 difficult to convince any reasonable IRB that a
10 protocol whose sole design is to find out how often a
11 potentially fatal drug effect occurs should be
12 conducted, and I would be interested to know people's
13 thoughts about what should go into a consent form.

14 "We want you to take this drug because we
15 want you to participate in a study to tell us how
16 often this drug produces a potentially fatal
17 abnormality."

18 So I think that the goals of the post
19 marketing study need to be pretty explicitly defined
20 and ought to include some sense of efficacy, as well
21 as collecting data by the way on safety. And we're
22 missing data on both of those.

23 DR. KONSTAM: Yeah. You know, I'd agree
24 with the efficacy point, but I think that we have a
25 lot to learn about what the meaning of these LFT

1 abnormalities --

2 DR. MASSIE: Yeah, but I don't think you
3 can get people to consent to a study whose goal is to
4 say, "How often does your SGOT go up or ALT go up, you
5 know, threefold or eightfold or tenfold?"

6 DR. KONSTAM: You can't get a consent for
7 that?

8 DR. THADANI: I think Dan's point is well
9 taken because if our IRB looks at that, they'll think,
10 well, you guys have gone crazy because --

11 DR. MASSIE: Well, how about --

12 DR. THADANI: -- there is not denying
13 there is not any evidence.

14 DR. MASSIE: -- Marv, to ask how often
15 people drop dead during quinidine therapy?

16 CHAIRPERSON PACKER: Okay. Let me --
17 okay. Let's try to move forward. Rob.

18 DR. CALIFF: I would say the real issue
19 here as it should be for any medical therapy is what
20 benefits are there to the patient of the treatment and
21 what are the risks, and right now we have a drug which
22 has not been shown to have a shred of benefit to the
23 patient for things that --

24 DR. LIPICKY: That's absolutely incorrect,
25 Rob, just totally and absolutely incorrect.

1 DR. CALIFF: What patient benefit has been
2 noted --

3 DR. THADANI: It lowers blood pressure.

4 DR. CALIFF: -- here?

5 DR. LIPICKY: It has lowered the blood
6 pressure.

7 DR. CALIFF: And if you die --

8 DR. LIPICKY: And that is good for people.

9 DR. CALIFF: It's always good for people
10 to lower the blood pressure?

11 DR. THADANI: Yeah.

12 DR. LIPICKY: It has been in 27 trials,
13 placebo controlled compared across every class of
14 agent that you wish to name.

15 DR. CALIFF: And if I bled you into a
16 trash can till your blood pressure dropped, that
17 would be good for you or I gave you arsenic and your
18 blood pressure dropped, that would be good for you?

19 DR. LIPICKY: Well, you know, you can put
20 it in those terms, right? But there has never been a
21 trial that has measured morbidity and mortality that
22 has lowered blood pressure that has not found a
23 treatment benefit.

24 DR. CALIFF: Well, I've got one trial
25 that's soon to be published where the drug that

1 lowered the blood pressure more was associated with
2 worse outcomes than the drug that lowered the blood
3 pressure less. So --

4 DR. LIPICKY: Well, okay. I'd be happy to
5 look at it.

6 (Laughter.)

7 DR. CALIFF: The point I'm trying to make
8 is in general we prescribe treatments to have patients
9 live longer or feel better, and you have endorsed that
10 for almost every other aspect of cardiovascular
11 disease at least, and in this case we have no direct
12 evidence. How about that? No direct evidence.

13 DR. LIPICKY: That's 100 percent true.

14 DR. CALIFF: All right. So it seems like
15 that the study, as the other drugs in this class are
16 currently doing, should be addressing the question of
17 how do you put potential hepatotoxicity in the context
18 of directly measured patient benefit, and from that
19 perspective, if you did a trial that was large enough
20 to demonstrate a reduction in death and stroke,
21 whatever the rate of hepatotoxicity is within that, if
22 the overall effect is a patient benefit --

23 DR. LIPICKY: Yeah, but -- but --

24 DR. CALIFF: -- then you have a balance in
25 favor of --

1 DR. LIPICKY: Fine. A large enough study
2 to detect a change in stroke, say, compared to what?

3 DR. CALIFF: Well, that's where someone
4 could be innovative. It could be compared to a
5 thiazide.

6 DR. LIPICKY: Fine. So let's compare it
7 to a thiazide. So this would be a positive control
8 trial.

9 DR. CALIFF: Right.

10 DR. LIPICKY: It would follow the usual
11 rule that have been enunciated, that is, you cannot
12 have less than X treatment effect lost.

13 DR. CALIFF: Something like that.

14 DR. LIPICKY: Fine. Can you define the
15 treatment effect for thiazide?

16 Okay. You haven't got a positive -- there
17 are bunches of trials, but I dare you to produce the
18 trial or even two trials where we'll be able to say we
19 can rely on this treatment effect.

20 DR. CALIFF: And my point is we do a lot
21 better coming to a consensus on what we think the
22 treatment effect is and doing an adequate size trial
23 than we are just throwing these molecules out to the
24 public and letting whatever happens happen.

25 It's not -- I mean, we don't have a

1 perfect scientific way of defining the treatment
2 effect of the currently effective antihypertensives,
3 but to say because we don't have that we're going to
4 do nothing I think is not a very responsible --

5 DR. LIPICKY: That's fine, but again, I
6 think that this whole issue needs to be taken up some
7 time when --

8 CHAIRPERSON PACKER: I think that's a
9 great idea.

10 DR. LIPICKY: -- when the entire morning
11 can be devoted to it.

12 CHAIRPERSON PACKER: Great idea. Let me
13 ask the Committee though as a follow-up. There are
14 two types of post marketing studies that have been
15 proposed in the last five minutes, one which is a very
16 large incidence and follow-up survey of LFT
17 abnormalities, but focused on LFTs.

18 The second is a true benefit-to-risk trial
19 which assesses morbidity/mortality and I don't want to
20 get into how that needs to be done, which puts the LFT
21 issues into a direct clinical perspective, not an
22 assumption based surrogate perspective.

23 So we have already said that we'd like to
24 recommend post marketing trial. Everyone agreed with
25 that. The question is what kind. So the first one is

1 LFT safety based study, and the second is a true
2 clinical benefit-to-risk assessment.

3 And let us take a vote quickly through the
4 Committee as to which you would prefer, and, Cindy,
5 why don't we begin with you?

6 DR. GRINES: I'm not sure that just
7 monitoring LFTs is going to give us anymore
8 information because we already have 4,000 patients in
9 the database that have LFT measurements. So I'd lean
10 more toward one that could accurately measure clinical
11 outcomes, although I'm not sure that we need to look
12 specifically at death and stroke. I thought the
13 biggest issue was whether there was any hepatic
14 failure.

15 CHAIRPERSON PACKER: John?

16 DR. DiMARCO: I think you could do it one
17 of two ways. You could either do a very large trial
18 and just look for clinical signs of hepatic failure
19 and forget other endpoints, or you could look at some
20 other population, such as a heart failure population,
21 and more carefully look for both a heart failure and
22 other outcomes because that would be an easier trial
23 to do and would be a logical extension for this drug.

24 CHAIRPERSON PACKER: But outcomes or
25 safety?

1 DR. DiMARCO: Both in that second trial.

2 CHAIRPERSON PACKER: Okay. Lem.

3 DR. MOYE: Yeah. If we are to be
4 comforted in the end that this drug is -- the changes
5 this drug is producing in liver function is benign,
6 then I think that we need two things. We need to
7 assure ourselves that we understand the true
8 prevalence of the changes, number one, and, number
9 two, we have to know what the implications are for the
10 changes that we do see, which means linking the short
11 term changes to long term hepato sequelae, and I don't
12 see any way other than a large post marketing trial to
13 answer those questions definitively.

14 CHAIRPERSON PACKER: Rob?

15 DR. CALIFF: I mean, I think my view is
16 pretty clear that there needs to be a clinical outcome
17 trial, and I would think to really nail down the exact
18 incidence of hepatic clinical injury would take even
19 a larger trial than the clinical outcomes study since
20 we already know the rate is going to be quite low of
21 clinical events.

22 You know, the real issue for me is putting
23 the hepatic injury into perspective of what the drug
24 does to help patients.

25 CHAIRPERSON PACKER: JoAnn?

1 DR. LINDENFELD: Yeah, I think a clinical
2 outcome trial would be very valuable. I think that it
3 should definitely include women and the elderly in a
4 high percentage who have a bigger risk.

5 CHAIRPERSON PACKER: Marv.

6 DR. KONSTAM: Well, you know, I'd like to
7 see this company do a trial focusing on safety with
8 regard to LFT abnormalities and relative to the
9 surrogate of blood pressure. I think that with regard
10 to -- I agree with everything that Rob has said, that
11 a true outcome study is what we need.

12 I'm not sure what we're voting on,
13 however. I'm not --

14 CHAIRPERSON PACKER: We've been asked as
15 to what post marketing studies we would recommend to
16 this company, to the FDA for this company.

17 DR. THADANI: For hepatic enzymes.

18 DR. KONSTAM: Yeah. I'm not prepared to
19 recommend to the FDA with regard to this company that
20 they be asked to do the definitive trial that Rob
21 wants done. I'd pull back on that particular
22 recommendation.

23 I'd like to see that study done. I'm not
24 sure that we need to lay it on this company.

25 CHAIRPERSON PACKER: Udho.

1 DR. THADANI: If you're addressing
2 specifically the hepatic issue, since there was no
3 case of hepatic clinical toxicity in 4,000 patients,
4 and Bob Fenichel told us there are two patients who
5 have died out of 13 in several million. I think in
6 order to address that issue, you need a very large
7 sample size, more than hundreds of thousands of
8 patients. So I don't think you're going to address
9 it.

10 Obviously there will be vigilance to
11 report those patients.

12 If you're really worried about the
13 toxicity on the liver enzymes is more than your other
14 sartans, then I think you could do a comparative study
15 in a large enough database. They're shown at 200
16 patients. Maybe they should do a few thousand and
17 show there's no difference. Then perhaps we'd be
18 convinced there is no difference between the drugs.
19 That's all you could do.

20 CHAIRPERSON PACKER: Ileana.

21 DR. PINA: Yeah, I would like to see a
22 safety trial, and I echo what Lem has said. I'd like
23 to see that these changes that are noted in the ALTs
24 do not bear any clinical significance for the patient
25 population.

1 CHAIRPERSON PACKER: Dan.

2 DR. RODEN: Well, I'm going to reiterate
3 again one more time. We're talking about a surrogate
4 in terms of safety, and we're talking about a
5 surrogate in terms of efficacy.

6 I would love to see a safety trial. I
7 don't think such a thing is ethically defensible. So
8 I think the only way to collect the safety data is
9 within the context of an efficacy trial. How such a
10 trial should be designed is not very clear, but an
11 efficacy trial, as well as post marketing surveillance
12 which I presume will happen.

13 CHAIRPERSON PACKER: Barry.

14 DR. MASSIE: Yeah. I think this is a very
15 difficult question. The question is what's more
16 important or do we want to recommend two things. If
17 we want to know about the liver function, we need a
18 huge trial, and not liver function because I don't
19 care much about liver function. Liver disease is
20 going to take a huge trial.

21 If we want to know comparative liver
22 function with other sartans, a smaller comparative
23 trial which would give us some minimal -- it would
24 exclude a certain level of clinical liver disease if
25 you had 10,000 patient, 5,000 on losartan and 5,000 on

1 this agent. You would find out if there's a
2 difference in LFTs, and you'd rule out some huge rate
3 of clinical liver outcomes, but not any, not the type
4 that Bob has come up with in the post marketing area.

5 I would tend to go toward that one. As
6 far as the clinical outcome study in hypertension,
7 it's something that it's likely the company may want
8 to do to get on the map as the fifth sartan, but it
9 won't answer the liver function question in any
10 meaningful way.

11 So, you know, basically those are the
12 options. I don't see how we or probably the agency
13 can mandate any of this, except to continue to keep
14 close track on liver outcomes in this population
15 treated with this and other drugs of this type.

16 CHAIRPERSON PACKER: My own view is
17 similar to Barry. We really have a split vote on the
18 kind of post marketing with about half of us, in fact,
19 six favoring outcomes and five saying that safety
20 should be the primary focus, whatever guidance you get
21 from that.

22 And I think there are issues related to
23 design which we have not even touched upon which we
24 should touch upon at some other time.

25 We're going to skip question number nine

1 because I really think we don't have time for it, and
2 it isn't particularly specific to this drug, but I
3 think we need to look at question eight, and we have
4 already recommended in the labeling for tasesartan
5 that some mention should be made about LFT
6 abnormalities and/or clinically symptomatic disease
7 with other sartans. Does that mean that the other
8 sartans should have that labeling?

9 And Udho.

10 DR. THADANI: Obviously I think the fact
11 you have to say the liver function test abnormalities
12 have been reported and give the incidence as it is
13 provided in this handout, and also I think if the FDA
14 is convinced that there are 13 cases of actual disease
15 and two deaths, I think that information should be
16 updated.

17 I think because you have the data, you
18 don't want to run into this hassle of one year from
19 now then there were not only two deaths. There might
20 have been 50 deaths. So I think you should update
21 that information with those drugs where it has been
22 described, and just put in the other ones which is not
23 know.

24 So I think, yes, it should be updated.

25 CHAIRPERSON PACKER: Okay. Let me for the

1 sake of time simply try to make this a yes/no
2 question. Should the information that we've discussed
3 be incorporated in the labeling of other sartans? Yes
4 or no? Udho says yes, and, Barry, we'll begin with
5 you.

6 DR. MASSIE: It's very hard to vote
7 without having seen Bob's data. I think if the agency
8 is convinced that these other -- that sartans, two,
9 right now individual ones and when the third one
10 comes, that sartans can cause liver toxicity, clinical
11 liver disease, that that should be included in the
12 label, but I can't tell them whether they are
13 convinced yet or not.

14 CHAIRPERSON PACKER: Well, I think that
15 the label --

16 DR. MASSIE: I think the rest of this
17 stuff on the LFTs peculiar to this drug, it's very
18 hard to put that in the label in any other drug.

19 This has gone off. I think it's very
20 difficult to put all the things we carefully went
21 through into any other drug, but when, I think not if
22 and I suspect when, we get enough cases of clinical
23 liver disease involving more than two drugs that it
24 ought to go in there.

25 CHAIRPERSON PACKER: I think the labeling

1 that Udho was referring to would go something like it
2 would cite the specific incidence of LFT abnormalities
3 in clinical trials with that specific sartan.

4 DR. LIPICKY: Well, but that's fine. they
5 didn't distinguish themselves from placebo, and I
6 would argue against that because I don't like to put
7 all kinds of garbage into labeling that has no sense.

8 DR. THADANI: It's more than the placebo.
9 These are placebo controlled, right?

10 DR. MASSIE: But there are so many agents
11 in which they measured it once and they didn't see
12 much. I think there you have a perverse incentive,
13 that if you're going to compare a drug that measured
14 it every week for 16 weeks with an agent that measured
15 it at the end of a 12 week study. I don't know how
16 you can do that.

17 DR. THADANI: But surely you could say
18 there's no difference between placebo controlled
19 trials, and yet you are seeing some hepatic --

20 DR. LIPICKY: What do I want to put
21 garbage into the labeling for?

22 DR. THADANI: Because the hepatic
23 incidence of liver failure deaths. That's the issue
24 now.

25 DR. LIPICKY: Whoa, whoa, whoa. You mean

1 the post marketing reports? You don't know anything
2 about that. You haven't even seen it. So please
3 don't recommend that we --

4 DR. THADANI: No, no, no. That's what I
5 said. After you're convinced. I didn't say you have
6 to put it in. If you are convinced you're getting
7 reports and you're absolutely sure there were no other
8 cause, I think there should be some -- if I'm
9 prescribing the drug, I ought to know at least this
10 could happen. That's all I'm saying.

11 DR. LIPICKY: So then the other sartans
12 would have labeling that would say there have been
13 reports of X number of people who have gotten sick
14 from liver disease, but nothing ever happens to liver
15 enzymes in controlled trials.

16 DR. THADANI: Well, if that's what you --

17 DR. LIPICKY: Is that what you want to put
18 into labeling?

19 DR. THADANI: Well, if that's what the
20 data would suggest that at the moment.

21 DR. LIPICKY: Well, I mean --

22 DR. RODEN: Well, you can say that the
23 predictive value of serial routine monitoring of liver
24 function tests is not known or is not established
25 or --

1 DR. LIPICKY: Right, and in the end --

2 DR. RODEN: -- or is, in fact, nonexistent.

3 DR. LIPICKY: And then another section in
4 the animal pharmacology set says it was also clean in
5 animals, and that has no predictive value either.

6 DR. RODEN: Yeah, it's a situation where -
7 -

8 DR. LIPICKY: Well, why am I putting all
9 of this garbage in?

10 DR. RODEN: I don't think you need to.

11 DR. LIPICKY: Yeah.

12 DR. RODEN: All you need to say is there
13 are rare cases of sporadic -- I mean assuming that the
14 review of the data shows it -- that there are rare
15 cases of sporadic serious liver disease. You might
16 want to say something about the symptoms so the guy
17 who's reading the package insert knows that these
18 symptoms are things that they should think about as a
19 problem with liver disease, and leave it at that.

20 CHAIRPERSON PACKER: There's also another
21 issue that if you're going to put this in labeling,
22 are you going to tell people to monitor for it. I'm
23 sorry I mentioned that.

24 DR. RODEN: No. No.

25 CHAIRPERSON PACKER: No. I'm sorry?

1 I guess what we are saying is when
2 sufficient data becomes available in the post
3 marketing surveillance to say things that can be said
4 that they will be said.

5 DR. LIPICKY: Yes.

6 (Laughter.)

7 CHAIRPERSON PACKER: And since the
8 present --

9 DR. LIPICKY: That's good guidance.

10 CHAIRPERSON PACKER: What's that?

11 DR. LIPICKY: That's good guidance.

12 CHAIRPERSON PACKER: Yeah, and since the
13 present labeling of tasosartan that we recommended
14 refers to the other sartans, I guess we are not
15 unfairly biasing the situation in a way that would
16 make us uncomfortable.

17 Having said that, does anyone have any
18 other additional modifications, comments, or
19 recommendations?

20 (No response.)

21 CHAIRPERSON PACKER: If not, we are
22 recessed, and we will reconvene at 2:15.

23 (Whereupon, at 1:41 p.m., the meeting was
24 recessed for lunch, to reconvene at 2:15 p.m., the
25 same day.)

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (2:26 p.m.)

3 CHAIRPERSON PACKER: Can I ask everyone to
4 take their seats?

5 We're going to begin this afternoon's
6 session. The session is a general discussion about
7 the evaluation, development, and approval of
8 intravenous drugs for the treatment of heart failure.

9 The schedule that you have before you is
10 in error. There will be no formal presentation by
11 Sanofi.

12 We do have in addition to the panel on the
13 podium two invited experts, who will be nonvoting:
14 Dr. Lynne Stevenson from Brigham Women's Hospital in
15 Boston and Dr. Christopher O'Connor from Duke
16 University in Durham.

17 Barry Massie is a temporary voting member
18 this afternoon, as he was this morning.

19 Although generally speaking we do not
20 reserve time for public comment in the afternoon
21 session, there are those who are interested in IV
22 inotropic drugs, their use and development, and some
23 of them are here with us today, and one of them,
24 because of flight schedules, will not be able to be
25 here for the entire afternoon session and has asked

1 for an opportunity to make a brief comment before we
2 begin.

3 Dr. Silver.

4 DR. SILVER: Thank you, Dr. Packer, Dr.
5 Lipicky, and members of the panel.

6 My name is Mark Silver. I'm professor of
7 medicine and Director of the Loyola University Heart
8 Failure Center and Associate Director of the heart
9 transplant program at Loyola.

10 Like many of you, I spend my time caring
11 for patients with advanced heart failure and those
12 awaiting heart transplantation, and I want to thank
13 the panel for bringing to light this discussion on the
14 use of inotropic agents.

15 I believe the reality is that when these
16 drugs were approved we did not and could not envision
17 what heart failure would be like in 1998. Patients
18 awaiting heart transplantation for months being
19 supported by continuous use of inotropic agents, heart
20 failure being the lead cause of admission for patients
21 over the age of 65 with a fixed and sometimes punitive
22 reimbursement schedule.

23 Therefore, I think we really have at hand
24 an eclectic and outdated and inadequate database to
25 answer the questions regarding inotrope use, and I

1 really just wanted to make the comment to urge this
2 panel to help in the development of proper questions
3 and trial designs to answer the questions that we have
4 today and for the future.

5 Thank you very much.

6 CHAIRPERSON PACKER: Okay. Thank you very
7 much.

8 This afternoon's session does not have
9 formal presentations as part of. The division has
10 asked the Committee to consider a broad range of
11 topics related to development of IV drugs for heart
12 failure, and those topics are embodied in the
13 questions which have been distributed to the Committee
14 and is available to the audience.

15 I want to draw your attention to the first
16 paragraph of these questions. The division wishes to
17 draw the Committee's attention to issues that arise
18 during the development and evaluation of intravenous
19 medications for the treatment of heart failure. Such
20 a medication may sometimes exist in an oral, but
21 sometimes in an intravenous formulation.

22 Sometimes the intravenous formulation will
23 stand alone, as in the case of dobutamine. Sometimes
24 it will be coupled with an oral formulation, as in the
25 case of amrinone and milrinone.

1 Now, development of the oral formulation
2 may be concurrent with that of the intravenous
3 formulation or the oral formulation may have been
4 developed earlier or later. In either case the oral
5 formulation may or may not turn out to be useful.
6 That is, the oral formulation may eventually be
7 demonstrated to carry a survival benefit, a
8 symptomatic benefit, both or neither.

9 Now, the division would like to remind us
10 that there are four scenarios in which one can apply
11 an intravenous preparation and can be a target for
12 drug development.

13 First, when a patient is temporarily
14 unable to take a medication by mouth, the intravenous
15 formulation will make continued therapy possible by
16 bridging the gap of a small number of missed oral
17 doses, possibly doses of a medication different from
18 the one being pursued for approval.

19 Second, when a patient sustains an acute
20 decompensation of heart failure, the intravenous
21 formulation will be used for a day or two in the
22 intensive care unit.

23 Third, when myocardial dysfunction in a
24 patient with or without heart failure develops during
25 cardiopulmonary bypass, the intravenous formulation

1 can facilitate the weaning from the bypass pump.

2 And fourth, when the patients are more or
3 less stable, the intravenous formulation will be used
4 intermittently or continuously for maintenance or for
5 prophylaxis against deterioration, and this represents
6 the four settings in which intravenous therapy can be
7 reasonably used, and not all of these settings were
8 anticipated when many of the drugs that are presently
9 approved for intravenous use were made commercially
10 available.

11 Now, in general, intravenous drugs for the
12 treatment of heart failure have historically been
13 approved after adequate demonstration of dose
14 dependent and appropriate hemodynamic effects,
15 generally speaking a decrease in filling pressures or
16 an increase in cardiac output or other effects in
17 patients with acute or chronic heart failure, and in
18 making these decisions, the division has made several
19 assumptions.

20 First, that the drug would be used only
21 occasionally in any given patient; and then for no
22 more than a day or two, always when the patient was
23 hospitalized for the treatment of severe acute heart
24 failure.

25 Second, that although standard hemodynamic

1 changes cannot be defined, that is, one cannot
2 specifically identify what drop in left atrial
3 pressure is always desirable, a clinician may be able
4 to titrate a drug through its effect on hemodynamics
5 by monitoring some other physiologic variables or
6 clinical variables so long as there is a predictable
7 relationship between dose and the hemodynamic effect,
8 not that the same dose will have the same effect in
9 every patient, but at least the useful dosing range
10 can be defined, and dose response relationships for
11 the various hemodynamic effects can be at least
12 qualitatively predicted over the specified range.

13 A third assumption. When a safe and
14 effective chronic oral regimen has been defined, the
15 concomitant target hemodynamic changes have been
16 described because it would make sense that these same
17 changes are appropriate in acute and chronic heart
18 failure and could be a target for intravenous therapy.

19 And fourth, the fourth assumption, when no
20 oral regimen exists, the short term hemodynamic
21 effects are suitable surrogates with short term
22 symptomatic benefit, and that no formal estimate of
23 the mortality effect needs to be obtained beyond
24 whatever point estimate it incidentally obtained,
25 probably with wide confidence intervals, from the

1 hemodynamic trials.

2 So now we are being asked by the division
3 the following question: should we reconsider the
4 current guidelines for the development of an
5 intravenous drug for the treatment of heart failure,
6 and in particular, are you satisfied with the validity
7 of the assumptions which have guided the approval of
8 intravenous drug therapy to date?

9 So that is the questions which are being
10 posed, and what I would suggest is that what we should
11 begin with is a general discussion about how the field
12 of intravenous therapy for heart failure, one, may
13 have changed and, two, which assumptions in particular
14 are assumptions that may no longer be considered to be
15 valid given the change in our perspective over the
16 last ten to 15 years.

17 The last drug, I think, approved for
18 intravenous use for heart failure was milrinone in
19 1988.

20 DR. LIPICKY: I believe so.

21 CHAIRPERSON PACKER: So it's been ten
22 years.

23 Nitroprusside was approved in 1991.

24 Okay. Marv, let me ask you to begin and
25 review the first assumption or, for that matter, any

1 assumptions that you would like to identify as being
2 assumptions that you think may no longer be valid in
3 terms of the evaluation process.

4 DR. LIPICKY: Milton, before you start
5 that discussion, you wouldn't have to have that
6 discussion if people didn't want to change the
7 guidelines.

8 CHAIRPERSON PACKER: I'm sorry?

9 DR. LIPICKY: You wouldn't have to have
10 that discussion if people did not want to change the
11 guidelines.

12 CHAIRPERSON PACKER: That's right.

13 DR. LIPICKY: So --

14 CHAIRPERSON PACKER: Okay.

15 DR. LIPICKY: -- maybe people think
16 they're fine.

17 CHAIRPERSON PACKER: Well, we have heard
18 the assumptions which underlie the present guidelines.
19 Does the Committee believe that these assumptions are
20 all still reasonable?

21 And, Marv, why don't you begin to address
22 that question? And if they are not reasonable, why
23 are they not reasonable?

24 DR. KONSTAM: Well, I mean as Dr. Silver
25 pointed out, there certainly has been an evolution of

1 practice, and I think that as these drugs were first
2 conceptualized to be used in the intensive care unit
3 for acute exacerbations of heart failure, there has
4 certainly been an evolution or a movement toward other
5 uses.

6 I think that this first came about with
7 the view that short term use of inotropic agents could
8 -- particularly dobutamine in the early '80s -- could
9 result in improvement in clinical status that could be
10 sustained for some time, and that from there came the
11 viewpoint that exists that there might be a role for
12 intermittent use of these agents in order to achieve
13 a long term benefit.

14 No, I mean, I think that we really need to
15 revisit all of the assumptions. I think the first
16 question relates in my mind -- and I don't know what
17 you want to do, Milton, in terms of going through
18 these or maybe I just could make some comments --

19 CHAIRPERSON PACKER: I think general
20 comments first would be appropriate.

21 DR. KONSTAM: Yeah. You know, I mean, I
22 think to me there are -- I could divide the questions
23 into two. To me, first of all, the question is let's
24 assume for the moment that you are going to use an
25 intravenous agent with inotropic capacity for short

1 term use. Why are you using it, and what kind of
2 effects would you like to document in order to prove
3 efficacy? That is, do we accept the fact that certain
4 hemodynamic measurements are acceptable surrogates to
5 acute short term improvement in clinical status, yes
6 or no?

7 And if the answer is yes, well, what
8 exactly do we want to see in terms of efficacy that
9 might represent a surrogate toward a short-term
10 improvement in clinical status?

11 I guess that's one set of questions, and
12 then second set of questions really relates to long
13 term use, whether it be continuous or intermittent,
14 and therein I think we would wind up, I believe, all
15 agreeing that the goal should be clearly improvement
16 in long term outcomes.

17 And I think the question before us would
18 then be: do we have any evidence for a particular
19 agent that there is an improvement in long term
20 outcomes, and what should be the criteria there?

21 So I think that where we are in the state
22 of the art as I understand it for approvability of
23 intravenous agents falls far short of what we need to
24 develop, and I think that clinical practice -- said
25 another way -- I think clinical practice has gotten

1 far beyond the regulatory process.

2 And why don't I stop there?

3 CHAIRPERSON PACKER: Okay. Ileana, just
4 some general comments?

5 DR. PINA: I think in the years that I've
6 been taking care of heart failure patients our
7 practice, as Marv has just said, has evolved.
8 Patients look clinically very different than they did
9 ten years ago, and I think our approach has become
10 perhaps a bit more sophisticated, a bit more
11 physiologically based, and so our therapies and our
12 approach to therapies have changed.

13 We see a very large and rather ill group
14 of patients that are maintained on inotropes sometimes
15 for many, many months at a time waiting for hearts,
16 and because of the UNOS criteria for what constitutes
17 a status I patient, and these patients fit that
18 definition, we need to keep them in the hospital at
19 this time on inotropes or with a ventricular assist
20 device pending transplantation.

21 There are patients, however, that are
22 extremely ill, but that are sustained in an inotropic
23 agent and very often now being sent home, and it's not
24 just happening in Philadelphia. It's happening
25 everywhere in the country, sent home on inotropic

1 therapy, and we often see that as a last resort to
2 make the patient comfortable and allow them to be at
3 home with their families rather than being tied to an
4 IV tube inside the hospital.

5 And this, of course, brings out a whole
6 other set of issues of end of life care, et cetera.

7 So I think we've seen such a change in the
8 way that we approach heart failure from the days that
9 these drugs were approved and discussed that I see it
10 as a wonderful thing that we're sitting here together
11 and going to revisit this issue and hopefully set down
12 some new suggestions for guidelines as to the use of
13 these agents.

14 CHAIRPERSON PACKER: Barry.

15 DR. MASSIE: Yeah, I think, you know, what
16 the division does and, I guess, what this group
17 discusses in approving a new drug or a drug for a new
18 indication, I guess, is defining three things. One is
19 whether the drug is effective for that indication; the
20 second, the safety and of course the relative efficacy
21 to safety; and third is the dose of the drug to be
22 administered for those indications.

23 And I think as we move beyond the original
24 idea that we had a treatment that for a short,
25 intermediate period of time would sustain a patient

1 until either the condition passed or an oral regimen
2 was developed to accomplish the efficacy goals has
3 moved on, and we really -- I'm not sure for some of
4 the uses that we currently have evidence for efficacy,
5 knowledge of safety or really information about the
6 appropriate dose to be using in those settings, and so
7 I think it's quite appropriate to revisit these issues
8 and see if we can define that or if we can define how
9 it can be defined in the future.

10 CHAIRPERSON PACKER: Okay. Having said
11 that, let us now as a panel go through the assumptions
12 and see if any of the present assumptions are still
13 valid or perhaps all of them are still valid, but why
14 don't we go through them selectively?

15 Let me emphasize the intent here is to get
16 through most of the questions, probably until about
17 question six or seven, within a very short period of
18 time. So we're not really talking about extensive
19 discussion unless such discussion is warranted.

20 Let me ask -- we'll just go through. Does
21 anyone in the panel still believe that the assumption
22 that an IV drug will only be used occasionally for a
23 day or two, that that assumption underlying the
24 evaluation approval is still valid?

25 (No response.)

1 CHAIRPERSON PACKER: Does anyone in the
2 panel believe that a clinician who has somehow decided
3 on target hemodynamics can approach those target
4 levels by dose titration so long as there's an orderly
5 relationship between dose and effect?

6 The concept here is the rationale behind
7 evaluating or requiring that up to now that dose
8 dependency be established because one could not
9 identify a target hemodynamic dose.

10 DR. LIPICKY: Milton, before you get to
11 that part, I know I'm not part of the panel, but I'd
12 like to defend that first thing, okay, that first
13 assumption, and by nobody saying that that was still
14 valid, does that mean that if I were going to develop
15 an IV inotrope and I developed a one or two dose
16 regimen for a patient and showed that whatever it was
17 you're supposed to show under those circumstances,
18 that this panel would tell me to go home? I cannot
19 get that approved?

20 CHAIRPERSON PACKER: No, I think that --

21 DR. LIPICKY: I mean, there's nothing
22 wrong with that as a goal.

23 CHAIRPERSON PACKER: Well, I think that
24 what the panel is saying is that's not the only way
25 that IV drugs could be approved, so that the

1 general --

2 DR. LIPICKY: Right, but the --

3 CHAIRPERSON PACKER: -- so that the
4 general concept that one has a blanket approval of an
5 IV drug for, quotes, heart failure --

6 DR. LIPICKY: Okay. So the lack of
7 supporting that statement was not that that is not
8 okay.

9 CHAIRPERSON PACKER: It's just not the
10 only perspective that one can take of IV therapy.

11 DR. LIPICKY: Okay, but I guess it would
12 be good to know whether the statement has any
13 validity, okay, because you know, it could be that
14 that would not be a valid thing.

15 CHAIRPERSON PACKER: I think the sense is
16 that although IV drugs can be given for a short period
17 of time and that a sponsor can request an approval for
18 short term therapy for a day or two, it would need to
19 clearly define that that's what it was doing because
20 right now the original assumption that that was the
21 only thing on the menu is no longer necessarily valid;
22 that there are other ways that IV drugs can be used.

23 DR. CALIFF: I think what Ray is asking is
24 even if that was the case, is it necessarily the case
25 that because the other assumptions here are true for

1 one or two days, that that would be a valid route to
2 approval.

3 CHAIRPERSON PACKER: You mean --

4 DR. CALIFF: In other words --

5 DR. LIPICKY: Right.

6 DR. CALIFF: -- let's say that it was not
7 ever going to be used more than one or two days.

8 CHAIRPERSON PACKER: Would that be
9 reasonable?

10 DR. LIPICKY: Right. That as a developer,
11 I would never intend it to be used in any other way
12 except one or two days. I can't control what doctors
13 do once it's approved.

14 DR. CALIFF: I mean my interpretation of
15 that question is are the surrogates that are listed in
16 the rest of this reasonable predictors of whether well
17 intentioned clinicians are helping or hurting the
18 patients they're treating.

19 DR. PINA: I don't think it's the
20 statement in itself. I think it's the statement
21 sounds like it precludes the use for more than a day
22 or two. In other words, it is not a desirable thing
23 to accept --

24 DR. LIPICKY: No. That's incorrect.
25 That's not the way to read it. The statement says

1 just what it says, that is, it's okay to do that, and
2 if that's all you do, you develop a drug to be used
3 that way, that would be okay. What would you need to
4 do to develop a drug and that's what it would be
5 labeled for, as opposed to it being an assumption that
6 that data would then allow you to use it for an
7 eternity? Okay?

8 That's not the implication of those words.

9 CHAIRPERSON PACKER: Right. The
10 implication of the words is that a sponsor could
11 pursue this if it wanted to, and that would be one
12 path to approvability.

13 DR. LIPICKY: Right.

14 CHAIRPERSON PACKER: Okay. Lynne.

15 DR. STEVENSON: I'd just like to emphasize
16 what Marv said at the beginning, which is the issue
17 that we really do distinguish between acute therapy of
18 symptomatic heart failure in the hospital and chronic
19 therapy of a patient out of the hospital.

20 I think the big changes that have occurred
21 over the last ten years are that we've found that some
22 of the therapies that work acutely do not work
23 chronically, and conversely, that some of the
24 therapies that work well chronically are very
25 difficult to institute acutely.

1 So I would suggest as we proceed that we
2 bear in mind those two indications differently, and
3 while one drug might seek to get both of them, that it
4 would not be assumed that one leads to the other.

5 CHAIRPERSON PACKER: Okay. Let me try to
6 -- because much of these subsequent questions after
7 this focus on the issue of endpoints, measurements,
8 and clinical settings which would constitute approval,
9 and so that the discussion that, Rob, you're
10 suggesting that we might have or, Ray, you're
11 suggesting we might have now actually is something
12 that comes up in just another question or two.

13 This is really more to identify which of
14 the working assumptions you have had up to now we
15 think require additional discussion.

16 DR. LIPICKY: Oh, okay.

17 CHAIRPERSON PACKER: So does anyone think
18 that the first assumption is still valid, and -- Udho?

19 DR. THADANI: I think the first assumption
20 is still valid because at least we get patients in the
21 CIC who are sick enough they may require for two or
22 three days, and then they could go home. So I think
23 the way it stands, there are hospitalized patients
24 that say you can use it occasionally in any given
25 patient. It doesn't say how often. It doesn't talk

1 about chronic, and I think it's a very reasonable
2 thing to do.

3 And there are patients who really are in
4 Class IV that are on everything else you can have them
5 on, and they're not even on the list yet, and they can
6 go home. I've seen those patients. So I think that's
7 still a valid assumption, at least in my judgment.

8 CHAIRPERSON PACKER: I think that the
9 target here, the way this question is phrased, is I
10 think a question that defines the basis of regulatory
11 action of IV drugs, and I think that perhaps a better
12 way of getting through this question is to have the
13 panel elucidate which assumptions may no longer be as
14 valid now as they were in the past.

15 Clearly, I think we've heard already that
16 the concept that a drug would necessarily be used for
17 a day or two in a hospitalized patient with acute
18 heart failure, well, that's certainly an option, but
19 it's not the only option available to clinicians when
20 the IV drug is made available for commercial use.

21 And we can discuss the interaction of
22 short term and long term use in a little bit.

23 The question as to -- the second question,
24 which is whether the identification of a dose response
25 relationship is a good way of obtaining information on

1 the efficacy of a drug. Up to now the efficacy of a
2 drug for IV therapy has been defined not based on
3 symptoms, not based on events, not based on clinical
4 endpoints, but has been based on the surrogate of
5 showing a dose dependent effect in hemodynamics.

6 Is that an assumption that we would like
7 to continue to have dominate the thinking of the
8 approval process?

9 Marv?

10 DR. KONSTAM: Milton, I'm not sure. I
11 wonder could we just take half a step back? I know
12 we're not making too much progress, but I think maybe
13 -- and just refocus on what it is we're aiming at
14 here, and maybe we could then go back through these or
15 maybe we need to reword these a little bit.

16 You know, it seems to me that, you know,
17 as Lynne was saying, that there may be a role for --
18 there is a role, I think, for intravenous inotropic
19 agents acutely, and then the question is going to be
20 if there is such a role, then what should be the basis
21 of approvability for that purpose, for that
22 indication, for short term use for patients who have
23 acute clinical exacerbations of heart failure.

24 And then the second issue is what should
25 be the basis of approvability for these agents if they

1 were to be used differently from that, that is,
2 chronically whether intermittently or continuously.

3 It seems to me that those are the two sets
4 of questions. I would not try to sort of pigeonhole
5 us into saying that --

6 DR. LIPICKY: But 1(b) is pertinent to
7 each of the considerations that you wish to consider.
8 What 1(b) says is that you know something about the
9 drug and you ought to define it --

10 DR. KONSTAM: Right.

11 DR. LIPICKY: -- in terms of the
12 relationship between dose and its hemodynamic effects.

13 DR. KONSTAM: Right.

14 DR. LIPICKY: And that that's important.
15 That's applicable to each of the specific
16 circumstances you want to discuss, and you will get a
17 chance to.

18 DR. KONSTAM: Right.

19 DR. LIPICKY: The question now is: is
20 that statement true or not true?

21 DR. KONSTAM: So let's take it in the
22 simplest sense. Let's say that -- maybe to clarify,
23 so if a company were seeking approval for a drug for
24 short term use in hospital in a patient who had
25 manifested acute clinical exacerbation of heart

1 failure, would it be sufficient for approvability to
2 indicate improvement in hemodynamics with a dose
3 response relationship? Is that a reasonable --

4 DR. LIPICKY: Yes. Would that be a part
5 of the basis for approvability?

6 DR. KONSTAM: Part of the basis.

7 DR. LIPICKY: Because in each other
8 circumstance there will be more and less information
9 that will be needed.

10 CHAIRPERSON PACKER: I have a sense from
11 reading the subsequent questions that the purposes of
12 this review would be best served by skipping this
13 question.

14 DR. KONSTAM: Okay.

15 CHAIRPERSON PACKER: And going on to
16 question number two because I think that we are
17 already well into the concept of what the different
18 settings are. We are well into the concept of what
19 measurements could be made and what measurements might
20 be important in the evaluation of a drug.

21 And what we may do, Ray, is come back to
22 one at the appropriate time.

23 So we have in the preamble defined a
24 number of clinical situations. The first one is acute
25 decompensation of, you know, acute or chronic heart

1 failure.

2 The second is weaning from cardiopulmonary
3 bypass.

4 And third is chronic heart failure.

5 And we are going to go through a series of
6 questions first to identify which assessments can be
7 made, can be made, and secondly, which assessments are
8 important for the program and for an approval by the
9 FDA.

10 So the first question, in the setting of
11 acute decompensation, acute pulmonary edema, and
12 chronic heart failure, which of the following
13 assessments can be made in a clinical development
14 program?

15 And, Barry, do you want to take this?

16 DR. MASSIE: Sure. Well, I think that, in
17 fact, to some degree each of these assessments can be
18 made. I guess that's independent of how many are
19 practical to be made.

20 Hemodynamics has been the standard and can
21 clearly be measured for acute short term therapy.
22 Symptoms can be measured. Morbidity, I guess, in this
23 case might be not terms of hospitalizations but time
24 in the hospital or time in the intensive care unit,
25 and survival also could be measured, probably not very

1 practically in the numbers.

2 I would add to this that measurements such
3 as renal function, which to some extent is connected
4 with the ability to achieve hemodynamics in terms of
5 diuretics would be something that one would also want
6 to measure.

7 And then, of course, this is not safety
8 issues, but there are safety things you would want to
9 measure at the same time.

10 CHAIRPERSON PACKER: Okay, Barry. You've
11 identified hemodynamics, symptoms. I guess to a
12 certain extent renal function, I guess, is one type of
13 evaluation of morbidity.

14 DR. MASSIE: And then one type of
15 evaluation of hemodynamics one might also say,
16 something like that.

17 CHAIRPERSON PACKER: Okay. You've said
18 that you think that conventional measures of
19 hospitalizations doesn't apply here because of the
20 short term infusion?

21 DR. MASSIE: Well, they're in the
22 hospital.

23 CHAIRPERSON PACKER: Right.

24 DR. MASSIE: In this particular
25 indication, they're in hospital. Well, I'm assuming

1 that we are following on the more traditional thing.
2 If this is not being done in hospitalized patients --

3 CHAIRPERSON PACKER: The assumption here
4 is a hospitalized patient.

5 DR. MASSIE: Right, and I forgot to
6 measure, but certainly blood pressure to some degree
7 is another hemodynamic measurement that is not listed
8 there, but one would want to look at.

9 CHAIRPERSON PACKER: Okay. Barry, let
10 me --

11 DR. MASSIE: If you're in the hospital,
12 clearly one way of getting at -- boy, this goes on and
13 off -- is length of hospitalization and length of time
14 in the intensive care unit, are measures that have
15 some clinical meaning, as well as economic meaning.

16 CHAIRPERSON PACKER: Okay. Barry, the
17 Committee has had distributed to it a protocol that
18 Chris O'Connor and his colleagues have developed and
19 are conducting now at Duke which actually deals with
20 the setting of acute decompensation, but measures
21 morbidity in a somewhat different way. It measures
22 morbidity -- the therapy is given short term, but
23 morbidity is measured during a follow-up period of two
24 months after a short term infusion.

25 Chris, do you want to -- the protocol has

1 been distributed to the Committee, but do you want to
2 outline just the overall way that the protocol is
3 designed and its objectives?

4 DR. O'CONNOR: Sure. Thank you, Milton.
5 I appreciate the opportunity to speak to the
6 Committee.

7 This protocol concept really came out of
8 a joint effort between the sponsor and academic
9 steering committee, many of whom are in the room and
10 some on the panel, concern that there was not much
11 data looking at acute decompensation heart failure in
12 the treatment with inotropes or inodilators.

13 So a trial was designed to look at the
14 inodilator milrinone in a randomized fashion versus
15 placebo in patients with acutely decompensated heart
16 failure with the primary endpoint to look at total
17 hospital days within 60 days, and that was hospital
18 days due to cardiovascular events.

19 So not only did it take into account the
20 hospital day duration of the acute decompensation, but
21 also rehospitalizations that occurred within the next
22 60 days, and this was a trial that looked at a 48 hour
23 infusion of the therapy versus the infusion of a
24 placebo in a blinded fashion.

25 CHAIRPERSON PACKER: Okay. So, Barry --

1 Chris, why don't you stay up there for a moment? --
2 Barry, this is a trial in which the drug is infused
3 short term, but morbidity is measured over a 60 day
4 follow-up period. Morbidity is not necessarily
5 measured during -- a measurement of morbidity is not
6 restricted to the time of the infusion, but includes
7 a period of follow-up of 60 days.

8 So I guess if this protocol is any example
9 of what can be done in the setting of acute
10 decompensation, one could conceivably measure
11 rehospitalizations after a therapy designed for short
12 term treatment of acute decompensated heart failure.

13 DR. MASSIE: I should indicate that I was
14 part of the panel that helped design that study, and
15 therefore it's not surprising that I'll say I think
16 that's a good idea and another approach. I think that
17 either approach would be something you'd want to look
18 at and both approaches. Obviously the morbidity in
19 that hospitalization, but certainly a follow-on issue
20 of morbidity measured that way and presumably survival
21 if the numbers of patients are big enough is also a
22 reasonable way of assessing this.

23 But as a single exposure, I would also be
24 happy to see that you could effect the short term
25 morbidity as well.

1 CHAIRPERSON PACKER: Rob.

2 DR. CALIFF: I think if we come back to
3 simple concepts, and again, the broken record here, if
4 we give drugs to make people live longer or feel
5 better, then you have to define whatever period of
6 time you define as feeling better. You know, it could
7 be the short term. One would wonder about whether it
8 would be worthwhile to give a drug that made people
9 feel better for a day and then they felt worse or were
10 more likely to die.

11 And that's really why the 60 days was put
12 in there after considerable discussion, is that the
13 feeling was that it would only be worthwhile if the
14 benefit was at least not going in the wrong direction
15 over a period of time that was meaningful to a
16 patient.

17 So it's kind of getting back to the feel
18 better or live longer concept.

19 CHAIRPERSON PACKER: Again, this question
20 is really directed toward what can be measured, not
21 what must be measured, not what's the basis for
22 approval, and not what's the primary endpoint. What
23 can be measured, and I guess what we've done is
24 identified two ways one can measure morbidity short
25 term and during a period of longer term follow-up even

1 if the therapy is given short term.

2 Udho.

3 DR. THADANI: I think that your protocol
4 probably doesn't address this question because here
5 the acute decompensation is due to acute pulmonary
6 edema, and I don't think --

7 CHAIRPERSON PACKER: No, no, that's for
8 example.

9 DR. THADANI: Okay, but the way I was
10 reading, because most of the patients if they're in
11 shock are excluded, and the decompensation heart
12 failure is a very different definition.

13 I have patients who get a lot of edema.
14 They're not responsive, and they're short of breath on
15 minimal exertion. That's one decompensation, but if
16 I see a patient with acute decompensation who's
17 actually going to lie flat, he's going to get
18 something to improve his condition in that next 21 to
19 24 hours. I want him to be able to sit up without
20 being short of breath.

21 Obviously the surrogate endpoint, what he
22 does in the next 20, 30 days, is important, but I
23 think to me acute improvement is important. Mortality
24 is an issue which you can address later. If patient,
25 you know, is four below, he can't even lie flat, and

1 whatever you're giving, whether it's nitroprusside or
2 whether you use inotropic agents to improve his
3 function and he can breathe well, I think that's an
4 important marker.

5 Whatever happens subsequently may be
6 relevant to us, but for that particular patient, I
7 think that's relevant as well. So I think you have
8 to, again, perhaps have two dissociations here, what
9 we're talking about: really acute decompensation or
10 relative decompensation where the patients are in the
11 ward and we drag them into the unit to do certain
12 things.

13 DR. O'CONNOR: Well, I think you're
14 correct in part in that the acute shock patients are
15 excluded from these patients, but nonetheless, these
16 patients are sick, and the protocol doesn't exclude
17 the use of other therapies that can treat acute
18 pulmonary edema.

19 DR. THADANI: Say if you had a patient
20 with pulmonary edema. You're not going to withhold --
21 you're not going in with placebo. At least I won't.
22 I don't know. You might. I don't think any IRB
23 committee is going to allow you doing that.

24 DR. O'CONNOR: They can get other IV
25 medications.

1 DR. THADANI: Sure.

2 DR. O'CONNOR: And you can get a balloon
3 pump if --

4 DR. CALIFF: Yeah, there are nitrates,
5 lasix, morphine, all kinds of good treatments for
6 pulmonary edema.

7 DR. THADANI: They're on ACE, they're on
8 diuretics. With acute decompensation with pulmonary
9 edema, how are you going to withdraw it? I don't know
10 how we can.

11 CHAIRPERSON PACKER: That's not the issue.
12 The issue is what can be measured, and if we want to
13 know how it's done and what's prespecified and what
14 the primary endpoints are, that's a little bit later
15 on. The question is what can be measured.

16 DR. THADANI: I think what you can measure
17 acutely is how the patient does. Does he leave the
18 unit? To me that's very critical at that point, and
19 then the rest is secondary.

20 DR. KONSTAM: Milt, let me follow up on
21 Udho's comments, and let me just say that I really
22 applaud this protocol. I mean I think it's exactly
23 the direction -- it's an important direction to go,
24 and I applaud the investigators for heading in that
25 direction, of really trying to measure outcomes in

1 association with acute hemodynamic studies.

2 But just really to say what Udho is saying
3 maybe in a different way is that I'm not sure it's a
4 meaningful question, Milton, to stop it by saying can
5 you measure it. Yeah, you can measure anything. You
6 can measure mortality. You can measure anything you
7 want.

8 I assume the question is asking for
9 meaningful measurements, and I think that in that
10 light, I think one has to say: okay. What is going
11 to be the significance of this measurement? And let's
12 stop and think about it for a moment.

13 Because you may be blinding the treatment,
14 but if you are not -- and I don't think you can --
15 fully control all other treatments, then you have to
16 say, well, if in fact an intravenous inotropic agent
17 is achieving a hemodynamic benefit, perhaps
18 improvement in renal blood flow and perfusion, you may
19 be accelerating diuresis, and then the control group
20 is very likely to wind up being managed differently
21 because of the effect of the treatment.

22 And, therefore, I think, you know, just
23 maybe to second the spirit of what Udho is saying is
24 that this measurement can be done, but it's going to
25 be ladened by the necessity of the clinical

1 circumstance with a lot of complexities, much beyond
2 what we're used to in looking at long term outcome
3 trials that we've seen, you know, in other domains.

4 So, yes, you can measure it, but you're
5 going to hit a lot of problems.

6 CHAIRPERSON PACKER: Okay. We'll get into
7 some of these in a little bit because we cover each of
8 these settings again in a more definitive and
9 hierarchal fashion.

10 JoAnn?

11 DR. LINDENFELD: Well, I think that I
12 would say the same thing. This was a good study, and
13 these are some of the things we need to know. At
14 least we're measuring a definite outcome here, and
15 even if the other treatments are different, I think at
16 least we'll have data to look at.

17 So I think this is a good study, and I
18 think this is something that should be measured. Will
19 you be better for two months or in two months? I
20 think that's something that's important to tell
21 patients, and I think this is one of the areas, this
22 short term therapy, that's changed a lot in the last
23 ten or 15 years.

24 An awful lot more patients are being
25 brought in for short term therapy. We're going to

1 tune you up, and I think this is one of the biggest
2 changes, and this is just where we need some more
3 data. Does this really do any good?

4 CHAIRPERSON PACKER: Okay. Again, we'll
5 get into the what is valuable issue in just a moment.

6 Let's move on to question three. JoAnn,
7 do you want to take this one?

8 In the setting of weaning from
9 cardiopulmonary bypass, which of the following
10 assessments can be made? And I understand that that
11 sounds like an overly simplistic way of looking at it,
12 but in some cases the measurements the can't be made.

13 DR. LINDENFELD: Right.

14 CHAIRPERSON PACKER: And this may actually
15 be an example.

16 DR. LINDENFELD: Well, I think symptoms
17 probably can't be made in this setting, actual
18 symptoms within patients on cardiopulmonary bypass,
19 but certainly hemodynamics can be. There would be a
20 number of morbidities, time to weaning from bypass,
21 ventilation time, ICU stay. All of those things could
22 be easily measured, and certainly survival.

23 CHAIRPERSON PACKER: Okay. So that
24 everything but 3(b) can be measured?

25 DR. LINDENFELD: Right.

1 CHAIRPERSON PACKER: Okay. Fourth --
2 okay. Barry.

3 DR. MASSIE: I was just going to say in
4 the morbidity, I guess clearly you would want to look
5 at assist device need as well.

6 DR. LINDENFELD: Right.

7 DR. MASSIE: In addition to ventilation.

8 DR. DiMARCO: But actually to some degree
9 even symptoms can be measured because you'll want to
10 look at the outcome. You might have something which
11 weans people from bypass, but they have poor
12 neurologic function, and so you may want to look at
13 something two days later or three days later as an
14 outcome and then evaluate symptoms at that time.

15 DR. CALIFF: There's a great analogy
16 actually in the pediatric ICU data with weaning from
17 ECMO where there are agents that will improve the
18 weaning from ECMO but actually leave more kids with a
19 disability or not getting out of the hospital.

20 So it seems like even in this case to
21 ignore symptoms would be a big mistake.

22 CHAIRPERSON PACKER: Okay. Many of you
23 have mentioned various measures of morbidity, and they
24 seem to be varied depending on the clinical setting.
25 We've heard mention of number of hospitalizations,

1 length of hospitalizations, length of an ICU stay, use
2 of interventions, use of devices, need for emergency
3 care.

4 There's a whole host of definitions of
5 morbidity, and one of the things that seems to
6 characterize heart failure is that since the sequelae
7 of heart failure are so varied, I guess you could
8 define morbidity in a variety of different ways.

9 Is there any guidance that we can or
10 should give to sponsors in their pursuit of how to try
11 to identify what is a reasonable measure of morbidity
12 in a given clinical situation? Because, God, I don't
13 know how many measurements have been made, how many
14 ways it has been measured, but it would probably be
15 fair to say that in almost every clinical trial
16 everyone measures it differently.

17 Is there a right or wrong way of measuring
18 it? I don't think that that's the case, but is there
19 a better or worse way or is it really entirely up to
20 the sponsor? Can the sponsor simply define morbidity
21 in the way that it thinks would pick out the best or
22 most favorable aspects of the drug, or do we think or
23 should the agency think that some measurements of
24 morbidity are better than others?

25 DR. MASSIE: I think Rob brought up an

1 excellent point. One measure of morbidity or symptoms
2 is what you can do when you leave the hospital if you
3 leave. I mean obviously if you die, that's an
4 important outcome. If you leave the hospital but
5 you're hemiplegic or you end up not being able to go
6 home but rather to a nursing home, et cetera, that's
7 a different type of morbidity.

8 I think I'm not sure when we get to assist
9 devices and ventilators. Those are cost issues as
10 well as morbidity issues, but I guess if you go on an
11 assist device but you leave the hospital quicker and
12 leave the ICU faster, then it's not morbidity. It's
13 cost.

14 There's an intersection there. I guess
15 you really need to look at those factors in looking at
16 morbidity, but the end is, I think, the most common
17 denominator is how quickly you get out of the ICU and
18 how quickly you get out of the hospital and what your
19 status is when you leave the hospital.

20 CHAIRPERSON PACKER: Ileana?

21 DR. PINA: Yeah, I would like to ask Ray
22 is there currently a list of items -- I'm sorry. Is
23 there currently a list of items that you would
24 consider valid to assess morbidity? Does the agency
25 currently have something, a working definition of

1 morbidity?

2 DR. LIPICKY: No.

3 DR. PINA: You know, we've discussed lots
4 of morbidity items. I keep coming back. Every trial
5 that is now looking at rehospitalizations.
6 Rehospitalizations and length of admission continue to
7 come back as a very important item of morbidity
8 because it also translates, as Barry was just
9 mentioning, into cost.

10 Exercise function is also something that
11 doesn't get measured often after a hospitalization,
12 especially if the patient is going to be
13 rehospitalized again, but that can offer a very
14 objective sense of functional capacity, which also has
15 a correlation not only to morbidity, but also to
16 survival.

17 So I would look at some very tangible
18 aspects and give a list, a basic list of what can be
19 considered items to be looked at for appropriate
20 assessment of morbidity.

21 CHAIRPERSON PACKER: I think the problem,
22 Ileana, that we might have with exercise is that
23 although it might correlate with things, the question
24 that arises is what is it actually a direct measure
25 of, and this has been a pretty interesting discussion

1 primarily in the area of oral drug development, and I
2 think the answers are not entirely clear right now
3 because clearly one would like to -- if you're going
4 to actually say that something is beneficial, you want
5 to actually measure that as directly as possible.

6 And I guess the closest thing that has
7 come forward is that exercise tolerance is more
8 closely related to symptoms, and although it may
9 predict morbidity and mortality, it actually isn't a
10 measure of morbidity and mortality.

11 Would you agree with that?

12 DR. PINA: I would agree with that in
13 general, but I think that as an event of morbid
14 capacity, the inability to do anything is part of this
15 patient's morbidity profile.

16 I've been waiting for somebody to also
17 enter the quality of life issue in here, which is one
18 of the hardest things to measure, and I mean we've
19 argued at this in committee after -- not these
20 Committees, but other committees -- as to how do you
21 assess quality of life, and for some of these limited
22 patients, quality of life may be something very simple
23 and very basic as being able to do activities of daily
24 living.

25 Now, how do you measure that? That is an

1 exercise function, and I don't mean by exercise
2 everybody has to be on a treadmill.

3 CHAIRPERSON PACKER: I think maybe one
4 thing we probably need to define is what we mean by
5 morbidity. I think that the way that we're using that
6 term is that symptoms or clinical status or quality of
7 life -- and I'll group those together -- are
8 measurements that you can make of a patient at any
9 time you choose, whereas morbidity is the occurrence
10 of an event of the disease's choosing preferably or
11 the physician's response to a disease's choosing, but
12 can only be measured at the time that it occurs and
13 cannot be measured at a time that the protocol
14 prespecifies.

15 Is that reasonable?

16 DR. MASSIE: No. I mean one exception.
17 I guess the word "disability" pops in. You can
18 measure disability at the time you leave the hospital.
19 It will be, you know, a measure of the impact of the
20 disease process and the treatments on morbidity.

21 I mean it's really the opposite of
22 symptoms, and I think particularly when you talk about
23 coming off of cardiopulmonary bypass, disability at
24 the end of that hospitalization may be a very
25 important measure.

1 So may I toss that into the morbidity
2 equation, too?

3 CHAIRPERSON PACKER: Rob?

4 DR. CALIFF: Well, I mean, it seems like
5 your array is, again, remarkably simple, and it's a
6 definition that you're focusing on, which are
7 difficult. I mean, you've got death and you've got
8 bad things that happen to people that they wouldn't
9 like to have, and hospitalization represents that, and
10 you've got how you feel.

11 The dimensions that I think are important
12 are, first, the more likely it is that you can measure
13 the endpoint in every patient, the more clear the
14 result will be. So death is good for that reason and
15 hospitalization is good.

16 And one of the problems with quality of
17 life is that there are many people in whom you just
18 don't get the measurement at the time you want it, and
19 you're left pretending like those people didn't exist
20 or imputing some value or doing something. No matter
21 what you do, you can't get out of the problem.

22 But the other aspect of the endpoint which
23 I think is very important that this Committee could be
24 helpful on is cause specific versus all cause. I
25 think that the standard now in every field for

1 mortality is all cause, but what tends to happen in
2 heart failure trials I've noticed is heart failure
3 specific, hospitalization or morbidity, and that has
4 an attraction because it's more powerful, but what if
5 you had a drug that was better for heart failure but
6 caused other problems? You wouldn't pick it up in
7 the endpoint.

8 CHAIRPERSON PACKER: Yeah, Rob. In fact,
9 I think that's why there is more and more movement in
10 the area of heart failure to go to a less cause
11 specific approach. I agree with you that that has
12 been the way it has been done, but I think more and
13 more there's an appreciation for how limited or even
14 occasionally misleading that could be because a drug
15 could reduce hospitalization for heart failure,
16 increase hospitalizations for other cardiovascular
17 reasons. Perhaps digitalis is an example of that, and
18 clearly, if being in the hospital is a bad thing, if
19 your total hospitalization risk is not affected, but
20 your hospitalization risk for heart failure is
21 reduced, I'm not certain there's much to celebrate if
22 the goal is keeping the patient out of the hospital.

23 So I think that in all of these morbidity
24 measures it's not only what one should be measuring,
25 but to try to make it as general as possible to

1 eliminate the possibility that one is getting only the
2 answer one is seeking instead of a complete picture.

3 Ray?

4 DR. LIPICKY: Well, but I guess the
5 farther you get from morbidity and mortality -- and
6 I'm not going to try to define morbidity for the
7 moment -- is to closer you get to patients feeling
8 better, and the one disturbing part of everything
9 that's going on in the cardiovascular area is that
10 that doesn't seem to matter anymore. Okay?

11 And knowing that patients feel better is
12 less and less investigated and, in fact, has all of
13 the problems that exist, you know, with quality of
14 life and symptom evaluation and all of that sort of
15 stuff.

16 And is it time to give that up?

17 DR. CALIFF: Well, I'd like to comment on
18 that because we've done a lot of work on quality of
19 life in various types of heart disease. I really
20 think it is fair to characterize heart disease for the
21 most part as a chronic disease punctuated by episodes
22 of feeling bad, but in between which most people
23 actually feel pretty good.

24 So if you measure, it's very hard to
25 measure differences in quality of life, particularly

1 with global measures.

2 Then you can pick out particular elements
3 of quality of life scales and find differences, but
4 when you ask for overall quality of life, it's mostly
5 dominated by the person's personality and other
6 aspects of their life and not their disease.

7 DR. KONSTAM: Well, you know, Rob, heart
8 failure though is the one condition in which that
9 might be a little different as compared to acute
10 ischemic events. I mean heart failure, of course, is
11 associated with exacerbations, but is also associated
12 with chronic persistent symptoms.

13 So, you know, I think conceptually there's
14 a circumstance where answering Ray, you know, we
15 really should be looking at how patients feel, and I
16 think we have been getting away from it, but not
17 because people are feeling it's not important, but
18 more because of a frustration that we don't know how
19 to measure it.

20 DR. CALIFF: Well, is it that we don't
21 know how to measure it or that a lot of studies have
22 been done and they've all been negative?

23 DR. KONSTAM: Well, I think the
24 frustration is or the feeling is that we're not sure
25 how to measure it, and perhaps part of the reason for

1 that is that there's been an inconsistency of
2 findings, and there has not been one quality of life
3 instrument that has been universally documented or
4 accepted to clearly do the job.

5 So I don't think it's a movement away. I
6 think it's a frustration that we're not sure we know
7 how to measure it.

8 DR. LIPICKY: Well, but it does lead to
9 the kind of model in your head that Rob just stated,
10 that is, that although you're sick with congestive
11 heart failure so that you're not normal and you're not
12 feeling well, that level of sickness is relatively
13 unaffected by anything you do, and that all you do is
14 change the number of episodes where you need sudden
15 attention.

16 But the problem is is that really true or
17 is it that one, as you said, doesn't know how to
18 measure symptoms and can't tell whether there is a
19 difference in the treatments.

20 DR. KONSTAM: Well, I mean, I think we
21 could ask the panel, but I think that there will be a
22 feeling that quality of life -- I think people will
23 answer you in the affirmative, that knowing how people
24 feel chronically and looking at health related quality
25 of life is extremely important, and I don't think the

1 panel would want to leave you with a sense that we
2 don't think that's important.

3 I think that there's a tremendous
4 uncertainty in the field about how to measure it.
5 That's all.

6 DR. O'CONNOR: Well, certainly in acute
7 heart failure, right? I mean if you can't tell that
8 people get better, I don't know where you can tell,
9 right? I mean is that not so, or is it that you can't
10 tell the difference from placebo because all kinds of
11 other things are going on?

12 See, I'm not sure I understand what
13 anybody is talking about at the moment, including my
14 self.

15 DR. MASSIE: Well, I was going to say if
16 you give an IV diuretic in a person with upper
17 pulmonary edema and they diurese five pounds and
18 they're not short of breath anymore, I think we can
19 get that answer. I guess it's more when you get past
20 that acute improvement, dealing with the vagaries of
21 up and down in the Class III patient that's much
22 harder.

23 DR. LIPICKY: Well, okay, but here part of
24 this stuff is acute. Okay?

25 DR. MASSIE: Should be able to do it.

1 DR. LIPICKY: Should be able to do it you
2 think, tell whether people really get better.

3 CHAIRPERSON PACKER: I think you should be
4 able to do it, but I'm wondering whether one would
5 really bother. I mean I understand that there are
6 reasons to measure quality of life, and I think I am
7 particularly understanding of that for a sort of
8 chronic, symptomatic disease, but in acute heart
9 failure, a patient comes in with acute pulmonary
10 edema, and just suppose you had a drug that got them
11 out of acute pulmonary edema in five minutes instead
12 of an hour. I just made that up, and the patient
13 really went from being in pulmonary edema to being
14 totally comfortable.

15 I'm not certain I would bother to measure
16 quality of life scales in something like that.

17 DR. KONSTAM: Well, you just did, didn't
18 you? I mean I don't understand what you're saying.
19 You just made a quality of life judgment.

20 CHAIRPERSON PACKER: I made a symptom
21 judgment.

22 DR. KONSTAM: Okay, right.

23 CHAIRPERSON PACKER: I didn't make a
24 quality of life judgment. I didn't ask the patient --

25 DR. KONSTAM: Well, what's the difference?

1 CHAIRPERSON PACKER: -- what the impact
2 of his lack of symptoms were on his ability to carry
3 out activities of daily living.

4 DR. KONSTAM: I think we're quibbling. I
5 think we're quibbling. I think we're talking about
6 symptomatology, and in the chronic setting we call
7 that health related quality of life, and in the acute
8 setting we call it symptoms. I think we're talking
9 about the same thing.

10 DR. CALIFF: Well, now you're getting me
11 worked up. I want to quibble with you a little on
12 that one.

13 (Laughter.)

14 DR. CALIFF: Symptoms and global quality
15 of life can be quite different. You may have a
16 miserable patient for other reasons who gets better
17 with regard to his heart failure, but hates being
18 alive just as much. In fact, we have many examples of
19 that.

20 They're both important. I don't think
21 either is unimportant.

22 DR. KONSTAM: Yeah. Well, we should
23 probably cut this discussion short because as we keep
24 going, we're going to wind up diverging.

25 CHAIRPERSON PACKER: Okay.

1 DR. KONSTAM: But let me just say that I
2 guess I would say my view of this is that health
3 related quality of life is the only thing that's
4 important other than keeping the patient alive, and
5 that symptomatology is one of the major drivers of
6 health related quality of life, and that's the way I
7 would say it.

8 CHAIRPERSON PACKER: Okay. Why don't we
9 go on to question number four? And let's see. In
10 patients with chronic heart failure -- these are out-
11 patients -- which of the following assessments can be
12 made, and let me take the prerogative of saying in
13 oral therapy we know that the answers here are we can
14 measure hemodynamics. We can measure symptoms. We
15 can measure morbidity. We can measure survival, and
16 my guess is if we can do that with an oral drug, we
17 can do that with an IV drug. These measurements can
18 be made, and I can't see, unless there's anyone that
19 would disagree with that, why we would have to spend
20 anymore time on this question.

21 DR. THADANI: The question is should you
22 make them.

23 CHAIRPERSON PACKER: That's next. That's
24 the next series. That's the next series.

25 So, Ray, the answer is that we are

1 providing to two, three, and four -- is, in fact, in
2 all of these settings all of these measurements can be
3 made. Even in the setting of weaning from
4 cardiopulmonary bypass, you can make a measurement of
5 symptoms a couple of days after surgery, and now you
6 want to have us evaluate which of them should be made
7 and which should matter.

8 DR. LIPICKY: Right.

9 CHAIRPERSON PACKER: And we're going to do
10 that in each of the clinical settings that we've just
11 discussed.

12 What might be the primary endpoints, any
13 of the four that we've talked about or others, of
14 trials designed to support approval of an IV
15 medication used when the patient sustains an acute
16 decompensation of chronic heart failure?

17 This is the clinical setting, acute
18 decompensation of chronic heart failure. Generally
19 speaking, we are talking about the IV drug being used
20 for a day or two in the hospital, short term therapy,
21 and what should be measured? What should be the
22 control treatments, and what should count in terms of
23 approval?

24 So that's the basis of this question, and,
25 Barry, do you want to take first shot at this?

1 DR. MASSIE: Yeah, and I think we've sort
2 of had this discussion in a sense, and I think Chris
3 O'Connor's protocol gives you some idea of the
4 heterogeneity of time points in which you could look
5 at it.

6 I think that if we're really specifically
7 looking at this setting, somebody comes in sick enough
8 to require an intensive care unit admission, that
9 perhaps hemodynamics is a valid measurement. If it's
10 somewhat less than that, I think that's not a valid
11 measurement of what goes on, and then again, symptoms,
12 morbidity, and mortality are also important, and I
13 think we have to open up our time windows.

14 I think certain if they're on the far sick
15 end, how quickly they get out, that time counts, but
16 if they're Class III patients, they probably wouldn't
17 get into an ICU anymore, I guess is one way of
18 looking, but if you are going to take people who
19 aren't barely surviving and aren't really needing to
20 be in an ICU, then I think you have to look longer
21 out, and I like the Chris O'Connor protocol.

22 CHAIRPERSON PACKER: Okay.

23 DR. MASSIE: But hemodynamics, I think, is
24 the one we have to be most careful at looking at
25 because they're appropriate measurements in a very

1 narrow range of patients, I think, and I'm not sure
2 that that constitutes the vast majority of people who
3 are admitted, quote, unquote, with decompensated heart
4 failure.

5 CHAIRPERSON PACKER: Okay. Barry, up to
6 now the approval process for acute decompensation of
7 chronic heart failure or just acute heart failure,
8 with the concept of short term IV therapy, this
9 approval process has had as its primary endpoint
10 hemodynamics.

11 DR. MASSIE: Right.

12 DR. LIPICKY: You already said that's
13 fine.

14 DR. MASSIE: But, no, I don't think --

15 CHAIRPERSON PACKER: Well, you did say
16 that.

17 DR. MASSIE: It is fine, but I think the
18 important thing is even in those studies that up until
19 now have gotten these drugs approved, probably most of
20 those patients don't meet my narrow range of where
21 it's a valid measurement of outcome in the study.

22 In other words, because we enroll patients
23 in those trials, and we've often brought in Class III
24 patients who were out of the hospital to come in and
25 get 42, 72 hour infusion of a drug and show that it

1 improved more than placebo or equally or more than a
2 comparator. Those are people who wouldn't have gotten
3 into ICU if they weren't in a protocol.

4 So I think we have to --

5 DR. LIPICKY: So as long as they're really
6 sick, hemodynamic measurements are okay --

7 DR. MASSIE: I think they're --

8 DR. LIPICKY: -- as a basis for approval?

9 DR. MASSIE: Right, but I think there's
10 very little -- because those patients are so hard to
11 deal with and so many of them mandate active therapy
12 even of this type of therapy, it's a little bit hard
13 to study those. So I really do think that in the
14 types of patients who have gotten IV drugs approved
15 before we have to look at broader measurements of
16 outcome than we have.

17 CHAIRPERSON PACKER: Barry, let me just
18 focus this a little bit. The Committee has
19 previously said that one can measure hemodynamics.
20 One can measure symptoms, morbidity, and mortality,
21 and you're saying that, yes, you can measure them, and
22 I understand you would measure them, but if a drug
23 didn't affect symptoms or morbidity or mortality, but
24 did affect hemodynamics, you would consider that to be
25 all right?

1 DR. MASSIE: I would consider it all right
2 only in a very narrow range of patients who are not
3 usually part of the package that gets these drugs
4 approved. So I guess you're sort of forcing me to say
5 we should measure other things and show they get
6 better, too.

7 DR. LIPICKY: Don't let them.

8 DR. KONSTAM: Yeah. Can I --

9 DR. LIPICKY: You're okay.

10 DR. MASSIE: No, I'm not sure I'm okay
11 because I've done enough of these trials myself to
12 know that we're not collecting the hemodynamic data in
13 the people in whom it's meaningful.

14 DR. KONSTAM: I'd like to help Barry out
15 here.

16 DR. MASSIE: Okay. I always appreciate
17 it.

18 DR. KONSTAM: Because, Milton, I think
19 there is a movement of this discussion in a certain
20 direction which in large part I agree with, but you
21 know, let's focus on this acute/severe exacerbation,
22 which the most simple example is acute pulmonary
23 edema, and I think here I'd like to introduce or save
24 perhaps or mention the concept of an instrument drug
25 perhaps, and also say that we could well come to the

1 conclusion that pulmonary capillary wedge pressure is
2 a useful surrogate for the driving force that results
3 in acute pulmonary edema.

4 So that if even sticking to our guns and
5 saying the only thing that matters is getting the
6 patient well and improving their quality of life and
7 getting them out of the hospital, reducing
8 hospitalizations and reducing mortality, we might at
9 the same time say, "Okay, but if we know the drug is
10 safe and if we know that it achieves an acute
11 reduction in pulmonary capillary wedge pressure, that
12 that might well be an acceptable, valuable surrogate
13 in the circumstance of acute/severe pulmonary edema."

14 CHAIRPERSON PACKER: Marv, I understand
15 what you're saying, but most people with acute
16 pulmonary edema hopefully are not swanned.

17 DR. KONSTAM: That's okay.

18 CHAIRPERSON PACKER: No, no. You know, we
19 give them whatever we need to give them, and it works.

20 DR. KONSTAM: Right.

21 CHAIRPERSON PACKER: So that the number of
22 people with acute decompensated heart failure that
23 actually get a Swan Ganz Mather (phonetic) are
24 actually people who are not only in pulmonary edema,
25 but hypoperfused.

1 DR. KONSTAM: Right.

2 CHAIRPERSON PACKER: That is, they're more
3 along the lines of cardiogenic shock --

4 DR. KONSTAM: Okay.

5 CHAIRPERSON PACKER: -- than they are
6 acute pulmonary edema.

7 DR. KONSTAM: Right.

8 DR. LIPICKY: So what?

9 CHAIRPERSON PACKER: And you're saying
10 that in -- I think what you're saying is in that
11 patient population, you would use hemodynamics because
12 the pulmonary edema population actually doesn't get
13 invasive measurements in the first place, in general.

14 DR. KONSTAM: Just a minute.

15 DR. LIPICKY: But they could for a study.

16 DR. KONSTAM: For a study. They could for
17 a study.

18 DR. LIPICKY: They don't have to come
19 implanted in order to be involved --

20 DR. KONSTAM: I mean, I guess the question
21 is going to settle into -- Milton, I think what you're
22 driving at asking is: are there any circumstances
23 where we would accept hemodynamic measurements alone
24 as the basis for efficacy as we have in the past, or
25 should we not do that anymore?

1 And I'd like to hear more discussion about
2 this, but I'm at the starting point where I would like
3 to rescue hemodynamics a little bit in the setting of
4 patients with acute clinical exacerbations of heart
5 failure. I think that there is a place for
6 approvability on the basis of acute improvement in
7 hemodynamics based on what we know in terms of the
8 pathophysiology of heart failure.

9 You know, I think I'd like to see the
10 exact circumstance, but I'm not willing to abandon
11 that as a possibility as the basis for approvability.

12 CHAIRPERSON PACKER: Udho.

13 DR. THADANI: I think, you know, I beg to
14 differ with you that I think it could be a surrogate
15 marker. In 1998, or we used to put a lot of swans.
16 Now it's very rare a patient gets swans unless he's
17 hypertensive. You know, you can get them out of the
18 hospital. When you're talking about acute
19 decompensation, you have read Chris' protocol. Most
20 of the patients have more edema, they're a bit more
21 short of breath. We do swan just to put them in the
22 study. They may not be realistically acute
23 decompensated.

24 Acute pulmonary edema is a different
25 issue. So I'd like to see the symptoms improving,

1 too. You know, if you have a chest X-ray full of
2 fluid and you can see in the chest X-ray the fluid
3 goes away, that's your clinical marker, but usually
4 the patient always feels better. He can sit up.

5 Most of the time when you're saying blood
6 pressure goes down, so does the patient's improvement
7 in acute situations.

8 DR. KONSTAM: Udho, let me --

9 DR. THADANI: I'm not sure that we want to
10 take just hemodynamics alone.

11 DR. KONSTAM: We're talking about a trial
12 design for the basis of approvability. We're not
13 talking about necessarily saying everybody comes in --

14 DR. THADANI: You're talking about acute
15 decompensation. So I think you'll have to make sure
16 the patient has come to you because of symptoms. He
17 doesn't come to you to tell you his cardiac output is
18 low. He can't walk or he's symptomatic. So I think
19 you have to go on symptoms. You can't just say, "We
20 don't care about your symptoms, you know. We're going
21 to just increase your cardiac output, lower your wedge
22 pressure, and we are happy with it."

23 So I think the two have to move together.

24 CHAIRPERSON PACKER: Rob?

25 DR. CALIFF: I can't believe this. I

1 really can't. I mean how many more examples do we
2 have to go through of surrogate endpoints before we
3 catch on? It seems like a virus that people have
4 caught in their brains where they are compelled to
5 find these surrogate endpoints.

6 I mean if people really feel better when
7 you lower the wedge pressure, then ask them if they
8 feel better, and if they say they feel better, you can
9 do a very small trial and get the answer.

10 But perhaps even more importantly, you
11 know, many of us were involved in a trial of acute
12 heart failure where we improved the hemodynamics and
13 we killed people.

14 DR. KONSTAM: Which trial was that?

15 DR. CALIFF: The first trial, flolin.
16 It's a prostacyclin type drug. It lowers the wedge
17 pressure. It improves the cardiac output. It was for
18 acute decompensated heart failure.

19 DR. KONSTAM: Wait. No, Rob, that was a
20 home infusion. That was not -- it didn't kill people
21 during the first 12 hours of administration. We have
22 to be clear.

23 DR. CALIFF: Well --

24 DR. MASSIE: It was chronic home infusion.

25 DR. CALIFF: Okay. It's a little murkier

1 than that.

2 DR. KONSTAM: No, no. Wait. Hold on a
3 minute, Rob.

4 DR. CALIFF: Yeah?

5 DR. KONSTAM: Now, I don't know how we're
6 going to end up in this discussion, but my starting
7 point, which I'm willing to listen to somebody
8 dissuading me from it, is that there is a difference
9 between asking for approvability of a drug for, let's
10 say, one hour, let's say, to achieve a specific
11 hemodynamic endpoint, which I believe is strongly
12 associated with certain clinical morbidities. There's
13 a big difference between that and saying, "What should
14 be the goal when we're switching or talking about
15 using an agent for long term use?"

16 I would like to ask the panel: do we
17 really want to totally move away from that? Are we
18 going to say the drugs that have hemodynamic benefit
19 and that might be used for an hour, let's say -- one
20 extreme -- that there is -- are we willing to totally
21 move away and say, "No. Every time we're going to
22 raise the question of approvability for that agent, we
23 need to document the effect on long term mortality in
24 that agent"? That's the question.

25 DR. CALIFF: Well, no. If you take out

1 the term "long term," if you take out the word "long
2 term," then that I would --

3 DR. LIPICKY: Or even short term.

4 DR. CALIFF: -- I would take the opposite
5 point of view.

6 DR. LIPICKY: But -- but -- but I think
7 the way to look at it is in this setting now, okay,
8 we'll take the population Barry likes, you know,
9 drowning people, high filling pressures, low
10 profusion, okay, not making urine, and involve them in
11 a trial, and you might have to put some catheters in
12 because they don't come that way, right?

13 And then you do a placebo controlled trial
14 on top of all background therapy, right? Now, the
15 issue is let's say you document that there is
16 appropriate hemodynamic changes, but you cannot
17 document -- and that the appropriate hemodynamic
18 changes are there as a function of placebo and drug,
19 but you cannot document as a function of placebo and
20 drug symptom benefit, but, in fact, compared to
21 baseline everybody improves.

22 So you measured symptoms, and indeed,
23 everybody got better, right? But you can't tell drug
24 versus placebo. Maybe it numerically leans. Okay?

25 But, indeed, the hemodynamics are very

1 clear. They're very appropriate. So the question
2 here is not that you wouldn't measure symptoms or you
3 wouldn't measure anything else. The question is:
4 what's the primary endpoint? And could you only get
5 something approved in this circumstance if, in fact,
6 for symptoms you had to beat placebo or for symptoms
7 you had to, in fact, have a shorter stay in the ICU or
8 for symptoms you had to have a shorter -- a longer
9 life? Excuse me.

10 DR. KONSTAM: Well, let me say about that
11 that I think under those circumstances it may be very
12 difficult to design a trial and achieve a result that
13 clearly documents the difference in symptoms, and this
14 relates really back to my comments with regard to Dr.
15 O'Connor's trial where let's take an example of where
16 you wanted to study the effect of nitroprusside in
17 acute pulmonary edema, and you were going to give it
18 for an hour.

19 And the issue then would become in that
20 patient are you able to fully control everything else
21 going on such that the treatment is identical in both
22 the treatment group and the placebo group, and if you
23 could, then maybe you ought to be able to demonstrate
24 a difference, and in fact, you'll show that you're
25 winding up having patients die because you're

1 withholding therapy.

2 But if you're not going to withhold
3 therapy, then it's very likely that the placebo
4 patients will wind up being treated differently
5 because they're going to be getting more diuretics,
6 let's say, for example.

7 So I guess my answer to your question is
8 not the lack of desirability to document the benefit
9 on symptoms and quality of life and important
10 outcomes. It's just that in those settings of acute
11 exacerbation, it may be very difficult to design a
12 trial and achieve documentation of those endpoints
13 that you really would like to see.

14 And I think I continue to be willing to
15 accept under those circumstances what I know about the
16 pathophysiology of heart failure, and if I have a
17 trial that lowers wedge pressure, I might be willing
18 to accept that.

19 DR. LIPICKY: Well, I'm on your side, but
20 in particular, if -- and then we come back to 1(b) --
21 if you know over what dose range you can affect those
22 pressures --

23 DR. KONSTAM: Yes.

24 DR. LIPICKY: -- and you know what kind of
25 doses you ought to use, where you ought to start and

1 where you ought to end, that would seem to me if there
2 was just a yes or no answer, that is, yes, I can
3 affect wedge pressures, but you hadn't the foggiest
4 notion whether it took a milligram or ten grams; you
5 gave both, and they both gave you something. Okay?
6 That I find unacceptable.

7 DR. KONSTAM: I agree.

8 DR. LIPICKY: Okay. So at the moment you
9 and Barry have painted a picture where in one clinical
10 setting, in particular, if you could demonstrate dose
11 related hemodynamic effects, even though you don't
12 know what good the hemodynamic effects are and even
13 though you know that any given dose won't give you the
14 same hemodynamic effect in every patient; if you
15 demonstrated that, that that would, in fact, be the
16 basis of approval.

17 It doesn't say you would not measure other
18 things, but if the other things did not differentiate
19 themselves from placebo, it wouldn't matter, and I
20 suppose -- and then, in fact, just having a point
21 estimate for mortality, you know, taking the point one
22 step further, in the trials that demonstrated the dose
23 related hemodynamic effects, clearly you would have
24 had the ability to observe on an intention to treat
25 basis who died and who didn't die.

1 But since it's not a trial designed to
2 evaluate that, it probably would not be suitably
3 powered to draw any conclusions relevant to that, but
4 at least there would be a point estimate.

5 So you guys have staked out a position for
6 saying that would be okay.

7 CHAIRPERSON PACKER: Let me see if I --
8 I'm fairly certain I understand it, but I want to have
9 Rob respond to this specifically.

10 Ray's summary clearly states that everyone
11 on this panel would want for an acute drug for acute
12 heart failure, short term drug for acute heart
13 failure, to encourage sponsors to measure everything,
14 and even though some of the measurements or
15 conclusions from those measurements may be grossly
16 underpowered because they had wide confidence
17 intervals, we would still want to know, and we would
18 probably not be underpowered for symptoms.

19 DR. LIPICKY: Yeah.

20 CHAIRPERSON PACKER: But even if the drug
21 didn't beat placebo on symptoms, you would, Marv, say
22 that was all right, and the major reason that you
23 would say that it was all right is not because you
24 don't think symptoms are important, but because you
25 think that the acuity of setting forces the clinician

1 to compensate in treating the control group in order
2 to make sure that everyone has improved symptoms at
3 the end of an observation period.

4 So that although symptoms are nice to
5 measure, when you measure them, if you measure them
6 long enough into the course of an acute exacerbation,
7 you may be reflecting not only the effect of treatment
8 in the active treated group, but the effect of
9 additional interventions in the placebo group so that
10 the physician makes certain that everything comes out
11 equal at the end.

12 That's what you say before.

13 DR. KONSTAM: That's close enough.

14 CHAIRPERSON PACKER: Okay. Rob, what
15 would you say to Marv's concerns? Because he's
16 basically saying that he's not advocating the
17 surrogate. He's just saying that he loves symptoms
18 and would love to see that the drug beats placebo on
19 symptoms, but you can't get there from here. So he
20 doesn't want to hold the sponsor to doing that.

21 DR. CALIFF: It's ironic, isn't it, that
22 the guy that just stood up for symptoms and quality of
23 life is now saying we don't need them? It shows how
24 complicated this is.

25 DR. KONSTAM: It's complicated, isn't it,

1 Rob?

2 (Laughter.)

3 DR. CALIFF: But I think we've learned
4 that we can do clinical trials in complicated, life
5 threatening diseases if we develop the clinical
6 ambiance and fortitude to answer the question because
7 what happens is we do these sort of -- the word that
8 comes to mind I wouldn't use in public -- we do these
9 sort of weak studies. We open the door, and then
10 before you know it, we've gotten the drug being used
11 all over the place based on, you know, little studies
12 with nicely funded investigators talking about how we
13 can use the drug for all of these other indications.

14 I don't think that heart failure is the
15 only problem where people get treated differently in
16 Group A versus Group B. It seems to me that the major
17 question in this decade and in the future is not how
18 does the drug do in the setting of a physiology
19 experiment. The question is: does the drug add
20 patient benefit to the standard treatment for the
21 disease?

22 And so from that perspective, I would
23 argue that if you add the new drug or a placebo on top
24 of what the doctors otherwise do and the patients
25 don't feel any better or live any longer, why do we

1 need it? Why would you want something like that on
2 the market?

3 Wouldn't it be better to require that the
4 sponsor and the investigator show that you actually
5 improve the patient? Then when it got on the market,
6 we'd actually have something that we could have
7 confidence would be beneficial.

8 DR. LIPICKY: Then you'd have nothing on
9 the market.

10 DR. CALIFF: You mean historically we
11 would?

12 DR. LIPICKY: Well, in the future.

13 DR. CALIFF: Well, I don't know. I mean
14 maybe it's -- I'm hopeful that milrinone would be
15 shown to have this beneficial effect.

16 DR. LIPICKY: Well, yes, sure.

17 DR. CALIFF: We'll know in about ten
18 months.

19 DR. LIPICKY: Sure.

20 DR. CALIFF: But what if we find it has a
21 detrimental effect? Then we will have really done a
22 service, wouldn't we, instead of just using
23 hemodynamics?

24 DR. LIPICKY: Yes. No, I understand, but
25 see, I mean, the scenario you paint is certainly very

1 reasonable. You know, you don't want to open the door
2 and all that sort of stuff, but it's not clear to me
3 that the only time that one can think about approving
4 something is if, in fact, it is better than those
5 things that are standard, and in fact, better than on
6 top of all of the standard things.

7 I think that that is really a burden on
8 the development process that although, you know, it's
9 not too hard to defend that, okay? It just doesn't
10 seem like that's a reasonable thing. It seems like
11 it's too demanding, and I thought you just voted this
12 morning for saying that since you guys are such slobs,
13 continue to be slobs, did you not?

14 DR. CALIFF: No, no. I hope that we'll
15 actually soon have a major meeting about hypertension
16 where we actually require some --

17 DR. LIPICKY: Yeah, but --

18 DR. CALIFF: -- evidence.

19 DR. LIPICKY: -- until then you say,
20 "Behave like you have in the past."

21 DR. CALIFF: I'm not for -- I'm not for
22 arbitrary punishment of individual people or
23 companies, but I am for trying to improve patient
24 outcome, and it seems like we have a chance to take a
25 step in that direction in this case.

1 DR. LIPICKY: Well, but you can. I mean,
2 there isn't any reason if you designed a trial like
3 we're talking about. This is the acute setting,
4 placebo versus drug and so on. You could discover
5 that this on top of everything makes people really
6 feel much better. You know, feel better.

7 The question is if you did not find that
8 and all you found were dose related hemodynamic
9 effects, whether that would be good enough. It would
10 not preclude finding something that was better, but
11 you're saying that it would not be okay if it was the
12 same, but had hemodynamic effects on top of everything
13 else, that that would not be good enough if there was
14 no clinical benefit that you could associate with it.

15 And that seems rather strange to me. I
16 don't understand that. Why do you say that?

17 DR. CALIFF: Because I thought we approved
18 drugs because they improved patient outcome.

19 DR. MASSIE: Maybe I can respond. I think
20 we're getting in a rut here because I think in
21 defining this population we've defined it away. I
22 think I agree with what Marv said, I said, and that
23 Ray is saying, and Milton reiterated. This would be
24 okay in this population.

25 I have never seen this population studied,

1 and it never will be studied, because by the time you
2 get informed consent, they've diuresed a liter or else
3 you're a lousy doctor, and then all of a sudden
4 they're transitioning into a group that is not -- a
5 hemodynamic endpoint is no longer sufficient.

6 And I bet if I went to any NDA for any
7 inotropic drug, especially the ones I participated in,
8 none of the people who have been enrolled meet these
9 criteria. They are people who have the same
10 hemodynamics sometimes as people in acute pulmonary
11 edema. They have wedge pressures of 35, but they
12 signed an informed consent form. They often waited a
13 day to be admitted to the ICU, and then they got
14 titrated up, and they had these hemodynamic effects.

15 And I'd ask Ray if in those patients you
16 show dose related hemodynamic effects and you lower
17 the wedge, are you going to approve them for the
18 indication of acute heart failure?

19 DR. LIPICKY: Well, that was 2(c).

20 DR. MASSIE: Right, but I'm saying that --

21 DR. LIPICKY: Rather than 1(c). That was,
22 in fact, the question that was directed --

23 DR. MASSIE: But those were the people who
24 have always been --

25 DR. LIPICKY: -- toward --

1 DR. MASSIE: -- studied for 1(c).

2 DR. LIPICKY: That was the question
3 directed toward is there some difference between
4 hemodynamics and chronic heart failure and affects,
5 you know, dose related hemodynamic changes and acute
6 heart failure. My anticipation was you would say no,
7 and that if you were willing to accept hemodynamics in
8 the acute decompensated setting, you would be willing
9 to do the same in something short of that.

10 DR. MASSIE: Well, maybe it's time to move
11 on because there's disagreement among everybody up
12 here that we would not be willing to do that.

13 DR. LIPICKY: Well, I haven't heard that
14 agreement.

15 DR. CALIFF: For 5(a), I would agree, but
16 5(b) and (c), it's a weak step to continue to take a
17 surrogate for such an important disease.

18 DR. LIPICKY: Yeah, okay.

19 CHAIRPERSON PACKER: Let me try if I
20 understand. The reason that you would vote yes for
21 5(a) is because of the concept of a bridge. So that
22 there is no -- why is the bridge acceptable?

23 DR. CALIFF: That reaches a threshold for
24 me. You know that the drug is beneficial. At least
25 when it's put in the blood stream by a different route

1 it's beneficial.

2 CHAIRPERSON PACKER: Okay. Let me see if
3 I can summarize this here. There is a desire to gain
4 more information about clinical measures when
5 evaluating the effects of short term therapy for acute
6 exacerbations of heart failure. That is a message
7 this Committee wants to deliver.

8 Applications, the evaluation of IV drugs
9 has generally ignored symptoms and morbidity or point
10 estimates of survival, and the message we want to send
11 forward is: don't ignore these anymore because we
12 would like you to measure them.

13 The question that the Committee has been
14 grappling with is, okay, so you measure them. What
15 will we hold you to if you come back and show that
16 what we have asked you to measure isn't
17 distinguishable, isn't the basis of distinguishing
18 your drug from placebo, and there is a difference of
19 opinion in the panel as to what that means in the
20 setting of acute heart failure, but as Barry made the
21 point, that is not a disease that is studied.

22 DR. LIPICKY: Well, that's what Barry
23 says.

24 CHAIRPERSON PACKER: I think that's true.

25 DR. MASSIE: Maybe we could take a poll of

1 the panel here since I recognize at least six or so
2 people have participated in trials of drugs looking
3 for an indication for acute heart failure. What
4 proportion of the patients they put in have acute
5 heart failure?

6 DR. THADANI: Yeah, I think that the thing
7 is if somebody is in pulmonary edema, nobody goes in
8 the trials. You may say anything. You know, most of
9 the physicians are not going to put anybody in
10 pulmonary edema on a trial.

11 CHAIRPERSON PACKER: Lynne.

12 DR. STEVENSON: I do think, however, that
13 there's a large population of patients who have
14 symptoms at rest who are not in danger of dying in the
15 next couple of hours or needing to be intubated, but
16 who have significant symptoms at rest that can be
17 relatively rapidly relieved with acute therapy, and I
18 think those patients often get into trials.

19 Their symptoms by and large I would
20 maintain are related to their hemodynamic
21 abnormalities, specifically their filling pressures,
22 if they're short of breath at rest. If you relieve
23 those filling pressures, you will relieve their
24 dyspnea. It may not be immediately. It may be the
25 next day, but I think you will find a concordance

1 between symptom improvement and the hemodynamics in
2 this population.

3 I think it's a fairly large population.
4 I do think if you have people who are more severely
5 ill than that, it will be hard to show a difference
6 with placebo because, as Marv indicates, you'll have
7 to add other therapies, and for instance, if you have
8 someone who's very dyspneic, you may add morphine, and
9 they might feel just as good as the patient who got
10 the drug, but that's obviously not the point of what
11 we're trying to do.

12 So I think it is a large population.
13 Hemodynamics matter, and symptoms will follow the
14 hemodynamics, and all I think we need to do for the
15 acute setting is just demonstrate that there is not an
16 unacceptable incidence of adverse events like
17 morbidity and mortality. I don't think we need to put
18 a benefit.

19 DR. LIPICKY: Lynne, how do you know that
20 people get better in acute pulmonary edema from what
21 you do?

22 DR. STEVENSON: Because they feel better.
23 It's not always immediately because --

24 DR. LIPICKY: Why wouldn't --

25 DR. STEVENSON: -- there may be other

1 things happening.

2 DR. LIPICKY: -- you know that if, I mean,
3 for a new drug?

4 DR. STEVENSON: Well, I don't --

5 DR. LIPICKY: Do you have any placebo
6 controlled trials that evaluate current therapy?

7 DR. STEVENSON: No.

8 DR. LIPICKY: No. So, again, how do you
9 know it works?

10 DR. STEVENSON: I know that medicines that
11 take the filling pressures down make people less
12 dyspneic.

13 DR. LIPICKY: How do you know that? Rob
14 says that's not true.

15 DR. STEVENSON: I know that.

16 (Laughter.)

17 DR. LIPICKY: Well, he says it isn't.

18 DR. STEVENSON: We could fill this room
19 with patients up to the ceiling who felt better as
20 soon as their wet pressure came down.

21 DR. THADANI: I don't think Rob said it's
22 not true. I --

23 DR. LIPICKY: Rob says that's a surrogate.

24 DR. THADANI: No, no, but you're treating
25 the patient with symptoms. His symptoms got better if

1 he can --

2 DR. CALIFF: But if they get better, you
3 just have to ask them if they got -- "Are you
4 breathing better?" And they'd say, "Yes," and then
5 you'd have your answer.

6 DR. LIPICKY: Well, no, I understand, but
7 again, then you're into symptom evaluation, new drug
8 versus placebo, on top of all of the positive -- all
9 of the things that people have to do. So it seems
10 entirely possible to me that you could end up with the
11 drug that, in fact, affects filling pressures fine,
12 but you would not be able to develop an instrument
13 that would be able to evaluate symptoms that would
14 detect on an intent to treat basis placebo versus
15 drug.

16 And consequently, you could not hope to
17 use that as a basis for approval even if it worked
18 unless you did a set of sequential trials where those
19 were the first trials one did and then one could start
20 eliminating the other common therapies and get that
21 through an IRB and finally get it down to placebo
22 versus new drug along.

23 Then you might be able to evaluate
24 symptoms and expect to win, and what I guess I'm not
25 comfortable with is the thought that one would need to

1 win to be approvable in that setting.

2 If one did that, I mean, obviously that'd
3 be just terrific, and then there would be no
4 discussion, but I think the issue was do you have to.

5 CHAIRPERSON PACKER: Well, one thing just
6 to comment on what you said, Lynne, by the way, when
7 you measure morbidity and mortality and you say you
8 want to do that to rule out harm, realizing that given
9 the power of trials and the confidence intervals, any
10 effort to reasonably rule out harm is equivalent to a
11 full evaluation of that drug in a two-sided manner.

12 DR. STEVENSON: Except that I think when
13 we're talking about someone who has serious symptoms
14 at rest that we're trying to relieve, we might accept
15 a much larger confidence interval --

16 CHAIRPERSON PACKER: I agree.

17 DR. STEVENSON: -- in terms of is
18 mortality increased by seven percent, by nine percent.
19 Depending on how sick he was when he came in, I might
20 be happy to accept that.

21 CHAIRPERSON PACKER: I think that's a very
22 valid point.

23 Okay. I'm sure that the Committee
24 realizes that the reason these discussions are taking
25 place is because there are IV drugs that are under

1 development right now, and it's likely that within the
2 foreseeable future we may see some of these come to
3 the Committee, and so we will have this discussion
4 again, and I think it would be fair to say that the
5 discussion will be an interesting one and will
6 probably highlight some of the points that have been
7 raised here, but that sponsors who are embarking on an
8 IV development program now should keep in mind that
9 not all of the answers are in, and they should
10 endeavor to measure as many clinically relevant
11 endpoints as possible and, in fact, try to design
12 their trials in order to distinguish active therapy
13 from placebo on these clinical measures.

14 There's no reason to measure them unless
15 you want to distinguish your drug from placebo. So
16 there's a challenge to go forward and try to do that
17 to the best of your ability, and if you don't do that,
18 then the Committee will be happy to tell you what it
19 thinks at that time.

20 I think we should skip number six and move
21 on to number seven. It's the same question for number
22 five. What are the primary endpoints of trial to
23 support approval of an intravenous medication to be
24 used intermittently or continuously for maintenance
25 therapy, and what would be the control and the three

1 cases which are listed in the questions?

2 And let's see. Who wants to take that?

3 Barry.

4 DR. MASSIE: Well, now we're obviously
5 dealing with a population that's not as sick as either
6 that narrow acute population or the people that I
7 think Lynne is dealing with at least when they're in
8 their Class IV symptomatic at rest situation, and I
9 think there I think we know that we can and ought to
10 measure some sort of clinically relevant endpoints.

11 I think it's also -- the safety
12 requirements, I think, need to go up if this is
13 planned to be given more than 48 hours or cumulatively
14 over many hours over a period of time because I don't
15 really know how toxicity in these drugs evolves. I'm
16 fairly convinced that it's not necessarily limited to
17 that period when the patient is exposed to active
18 medication, and that there may be chronic changes that
19 happen in the myocardium, as suggested by some of the
20 chronic trials.

21 So I think there we need to look at
22 measurements of symptoms and measurements of morbidity
23 and get an estimate of mortality. I don't think we
24 have to show that we improve mortality, and as Ray
25 says, we may even prove that we don't improve

1 mortality, but that we improve morbidity and symptoms
2 in a way that it's an acceptable tradeoff.

3 There are some subsets there. What
4 happens if the oral formulation exists? If the oral
5 formulation has, in fact, been shown to be effective
6 in accomplishing these endpoints, I'm not sure why the
7 patient would need the IV formulation intermittently
8 on top of that unless its substitution during an NPO
9 period, which I think is probably a trivial question
10 we don't need to look at.

11 PARTICIPANT: IV diuretics.

12 DR. MASSIE: IV diuretics. Yes, that's
13 true, but -- and there may be limits to dose response
14 range of oral therapy that would require a whole
15 different package of studies to show that you wanted
16 to go higher up on the dose, and then that might be
17 reason for going to intravenous therapy.

18 I think the standards become a little
19 higher when we know that the oral formulation is
20 either ineffective or unsafe. Then that estimate of
21 harm that you need or harm ruled out that you might
22 need in another setting would have to have narrower
23 confidence limits, I would think, because one would
24 have to wonder whether or not, again, chronic exposure
25 even if given intermittently to a drug can cause harm.

1 So I think there are many. We've talked
2 about all the endpoints. We probably don't need to
3 fine tune them, but they should include measurements
4 of symptoms, and they should include morbidity, and
5 they should include some estimate of mortality even
6 though it may not be an improvement.

7 CHAIRPERSON PACKER: Barry, if I hear what
8 you're saying, I think what you're saying is that if
9 a drug is going to be used long term, that the
10 measures used to evaluate efficacy should be similar
11 whether that drug is an IV drug or an oral drug. Is
12 that fair?

13 DR. MASSIE: I think so, although
14 mortality, as Ray points out, has become our major
15 standard for long term, chronic exposure of drugs, and
16 I don't think it need be in those settings and
17 certainly need not be necessarily in this group of
18 patients either. We just need to know what it does
19 and so we can describe it.

20 CHAIRPERSON PACKER: But that's the same
21 for oral. In other words, you don't have to show an
22 oral drug prolongs life. You just have to evaluate
23 what it does to survival, and if you show that you
24 make people feel better and you do that to an extent
25 in which the effect on survival is acceptable, then

1 that would be compatible with an oral drug, and I
2 guess what you're saying, an intravenous drug as well.
3 It wouldn't be different.

4 Marv.

5 DR. KONSTAM: Yeah, Milton. I just want
6 to say that more strongly. You know, I mean, I think
7 that the issue of route of administration, you know,
8 is in my way of thinking the least consequential thing
9 that we should be thinking about and is driven by
10 practicality, you know, of whether the patient can or
11 cannot take oral or actually whether or not there is
12 an approved oral agent is the thing that tends to
13 drive it in practice.

14 I think if you're going to administer a
15 drug chronically, whether it be continuously or
16 intermittently, for long term management of heart
17 failure, then I think we are evolving standards of
18 approvability related to clear-cut outcomes, and I see
19 no reason to hold an agent to a different standard
20 because it may or is often administered intravenously
21 as opposed to administered orally, and I just don't
22 think it matters.

23 So I think that we need to look there. We
24 clearly need to look at hard outcomes, and I think
25 preferably survival, but there may be circumstances

1 where survival is neutral, but we need then to look at
2 morbidity outcomes.

3 CHAIRPERSON PACKER: JoAnn?

4 DR. LINDENFELD: I would just second what
5 Marv said. I think that the standards have to be the
6 same.

7 CHAIRPERSON PACKER: Ileana, any -- agree?
8 Agree.

9 Lynne?

10 Anyone disagree with the fact that the
11 standards should be the same for a long term therapy
12 regardless of the route of administration?

13 (No response.)

14 CHAIRPERSON PACKER: Okay. That leads us
15 actually directly to question nine. Having said that
16 in the future you believe that therapies being
17 evaluated for long term IV use, either intermittent or
18 continuous, should meet the general guidelines for
19 what is now looked at as long term oral use, realize
20 that that is a prospective opinion.

21 And the question is: how much of that
22 conclusion should be applied to what is already on the
23 marketplace? Because there are IV drugs approved for
24 use in heart failure, and there are -- and some of
25 those drugs, although not evaluated for intermittent

1 or continuous IV use, are being used intermittently or
2 continuously long term, and there are trials using
3 oral formulations of these drugs long term that have
4 raised concerns.

5 So the question is: is the opinion of the
6 panel regarding future development -- how should that
7 be applied to drugs which are already concluded with
8 their development to date and are already on the
9 market? And the concern has specifically been raised
10 about the safety and efficacy of long term IV therapy,
11 either intermittent or continuous, given the
12 experience with these drugs long term in oral trials.

13 Can we have the projector up? Is that
14 possible? Okay. That would be great.

15 The Committee has received a copy of a
16 review entitled "The Evaluation of Long Term Treatment
17 with Cyclic AMP Dependent Positive Inotropic Agents,"
18 and what we want to do is present the main conclusions
19 of this review and, in addition, to have members of
20 the panel comment on this because it is pertinent to
21 the overall discussion as to the approvability and
22 labeling of IV drugs for heart failure.

23 Just so that the audience is aware of what
24 the conclusions of this review are, and we just have
25 a few overheads that highlight the main parts of this.

1 The main goal of this review was to obtain
2 evidence from controlled clinical trials concerning
3 the efficacy and safety of long term positive
4 inotropic therapy for heart failure.

5 Next.

6 And in order to do that, the following
7 methods were employed. All trials that evaluate drugs
8 with positive inotropic properties that were dependent
9 in part or in whole -- that should be "whole" -- on
10 cyclic AMP were evaluated, and the reasons is that all
11 of the drugs presently approved for IV use for heart
12 failure for short term use are, in fact, cyclic AMP
13 dependent.

14 The trials were -- the trial had to be
15 double blind, placebo controlled with a parallel group
16 design. Trials that were a crossover or withdrawal
17 were generally excluded.

18 Could we go back for a second, Ray?

19 And the trials that were included in this
20 review were those of three months in duration because
21 that's generally the duration of trials that the
22 Committee sees for long term therapy as a minimum.

23 There was no attempt to validate the
24 results. In some questions the results were
25 questioned by the Advisory Committee.

1 There was no attempt to correct for P
2 values for multiplicity of endpoints or treatment or
3 analyses, and the review contained 23 trials with
4 seven orally active drugs. The list of drugs is shown
5 above, and that includes drugs that are beta agonists.
6 Xamoterol has beta blocking properties, as well, but
7 is commonly put into this category.

8 Phosphodiesterase inhibitors, such as
9 milrinone and enoximone, and drugs which have a
10 phosphodiesterase inhibitor action, although they have
11 other actions as well, that may or may not be more or
12 less important than their effects on
13 phosphodiesterase, and you can see the number of
14 trials with each agent on this slide.

15 DR. CALIFF: Now, before we see the
16 results, don't all of these drugs lower the wedge
17 pressure?

18 CHAIRPERSON PACKER: All of these drugs
19 lower the wedge pressure.

20 DR. CALIFF: Okay.

21 CHAIRPERSON PACKER: Actually, Rob --

22 DR. CALIFF: I just wanted to be clear
23 about this.

24 CHAIRPERSON PACKER: If I remember
25 correctly, almost all of these drugs increase cardiac

1 output and lower systemic vascular resistance as well.

2 DR. CALIFF: Just what you want in a short
3 term drug.

4 CHAIRPERSON PACKER: Just the type of
5 person you want to -- just the type of thing you
6 wanted to bring home and put under your pillow, right.

7 Okay. These are the overall results of
8 the 23 trials. What I've listed here are not the
9 results of 23 trials, but in each case, in each of
10 these seven drugs, there was one large, definitive
11 trial.

12 Frequently it was the last trial performed
13 with these drugs.

14 (Laughter.)

15 PARTICIPANT: Funny how that happens.

16 CHAIRPERSON PACKER: Well, you know,
17 large, definitive trials are commonly the last trial
18 performed with the drug. So one shouldn't reach any
19 conclusions from that necessarily.

20 In any case, we have the effects in this
21 trial on mortality in the first column, the effects on
22 morbidity in the second column. Morbidity here is
23 defined as hospitalizations or when that data weren't
24 available, number of dropouts generally for worsening
25 heart failure, and the effect on symptoms, by the way

1 not necessarily in this definitive trial. Sometimes
2 there were smaller trials that were part of the
3 package.

4 And you can see that the trials -- that in
5 every single case, every single one of these seven
6 drugs, there was a definitive trial that showed that
7 the drug increased mortality, and in almost all of
8 these trials, the trial was actually designed to
9 evaluate the effects on mortality. That was the
10 primary endpoint. The trial achieved that primary
11 endpoint by showing an adverse effect of drug therapy
12 on mortality, and in all of these trials there was an
13 adverse effect on morbidity.

14 And despite the fact that there's a common
15 assumption that these trials generally showed an
16 improvement in symptoms, this was not a consistent
17 feature of these trials. Most of these trials showed
18 very weak or equivocal evidence for symptom relief,
19 and in the trial which showed the most definitive
20 evidence for symptom relief, for example, pimobendan
21 or flosequinan, the symptom benefit was short term
22 only and disappeared over long periods of observation.

23 In five of the trials the trial was
24 stopped by the Data Safety Monitoring Board because of
25 the adverse effect on mortality, and in three trials

1 an analysis specifically in Class III versus Class IV
2 heart failure showed a worse outcome in Class IV.

3 I have to emphasize that most of these --
4 all of these trials enrolled very sick patients,
5 patients who generally were much sicker than the
6 patients who were enrolled in the exercise trials with
7 these drugs. Most of these patients had Class IV
8 heart failure, had repeated hospitalizations for heart
9 failure.

10 Next.

11 The overall conclusion to the review.
12 First, efficacy. Although some studies have reported
13 a favorable effect, this favorable effect was usually
14 not the primary endpoint of the trial and was not
15 supported by changes in other endpoints.

16 More importantly, trials that reported
17 favorable effects were almost always carried out in
18 Class II and III patients. There has been no evidence
19 from any of these trials of a favorable effect in
20 trials of Class III or IV patients, and with the
21 exception of two trials where a favorable effect was
22 seen at two to four weeks and then disappeared. The
23 majority of trials showed an increased risk of
24 hospitalizations over the long term.

25 Next.

1 Conclusions about safety. All the drugs
2 in this review, all cycle AMP dependent positive
3 inotropic agents were associated with increased risk
4 of death. In most cases the adverse effect was
5 observed in the trial that was specifically designed
6 to evaluate the effects of treatment on mortality.
7 Concerns were large enough to lead the Data Safety
8 Monitoring Board to stop five of the seven large scale
9 trials and let the sponsors terminate the development
10 of all seven drugs.

11 Next.

12 The mortality risk was not necessarily
13 apparent early in development when there were very few
14 events. In most cases the dose associated with
15 increased risk was not the highest dose evaluated. In
16 most cases it was 50 to 75 percent lower than the
17 highest dose that was evaluated in the controlled
18 clinical trial, and in trials that evaluated more than
19 one dose, all the doses that were evaluated were
20 associated with increased risk, and when the trial did
21 report symptomatic improvement, this was seen after
22 the dose was associated with increased risk of death.

23 And patients with Class IV heart failure
24 in many of these studies appeared to be at
25 particularly increased risk.

1 Next.

2 Let me just conclude by turning attention
3 to specifically intermittent therapy. Everything in
4 the previous couple of slides was on oral therapy. As
5 far as I can tell, there are four trials of
6 intermittent inotropic therapy that have been placebo
7 controlled. They're listed here.

8 The Bental trial at the bottom is really
9 the first author is Ellis, just for clarification.

10 You'll notice that all of these trials use
11 dobutamine. None of them used any other IV drug. All
12 the trials were small, ranging from 19 to only 60
13 patients, and they gave dobutamine, in general, 48
14 hours per week for varying lengths of therapy. Two
15 trials evaluate patients for about six months.

16 Next.

17 Now, I've summarized here the mortality
18 results from these four trials, and let me emphasize
19 that the Ellis trial is not included here, one,
20 because the report had no mortality data in it, and,
21 second, it used the one dosing regimen which was
22 different than the other three trials. It used 24
23 hour infusions every two to three weeks. The other
24 trials used weekly infusions.

25 And you can see the data comes directly

1 from the reports. We don't know how much of this is
2 intention to treat and how much complete follow-up
3 there is, but you can see that in the Dies trial,
4 seven -- these are all one-to-one randomizations -- in
5 the Dies trail, seven deaths on placebo, 13 on
6 dobutamine.

7 Let me emphasize that two of these deaths
8 were in patients who were crossed over to dobutamine.
9 Crossovers were allowed in this trial.

10 In the Erlemeier trial, one in each group,
11 one death.

12 In the DICE trial, three deaths on
13 placebo, five on dobutamine, but three patients in
14 dobutamine were transplanted urgently.

15 The conservative estimate totaling only
16 the events that you see -- this is intention to treat
17 -- 11 deaths on placebo, 19 on dobutamine.

18 The alternative regimen, which is to
19 exclude the two deaths that crossed over and to assume
20 that the three urgent transplants would have died --
21 these are not necessarily valid assumptions -- nine
22 deaths on -- nine events on placebo, 22 events on
23 dobutamine.

24 This needs to be taken into consideration
25 that the number of events for analysis here is quite

1 small, but the trends are not encouraging.

2 Next slide.

3 And need to be taken into consideration
4 that if one cuts -- looks at the results of the
5 PROMISE trial, not the overall results, but the
6 results at 15 days, and I chose 15 days here not
7 because it was arbitrary, but if you look at the
8 package insert for milrinone, it specifically states
9 that there was no adverse effect of milrinone in the
10 PROMISE trial at 15 days, and there were 12 deaths on
11 placebo, 16 on milrinone, and of course, as you all
12 know, when the follow-up was continued, this drug was
13 associated with a significant increase in mortality
14 during long term therapy.

15 Questions on this part of the review?

16 DR. RODEN: Milton, with such small
17 numbers, are the groups balanced at baseline?

18 CHAIRPERSON PACKER: The problem is that
19 the only data we have on these trials -- interestingly
20 enough, almost none of these trials have actually been
21 published as full length papers. In the four trials
22 that you've seen, three are only available as
23 abstracts and have never been translated into full
24 length publications.

25 The only trial that has been translated

1 into a full length publication is the Erlemeier trial.
2 That only had 20 patients with one death in each.

3 DR. RODEN: And the other question is, at
4 the risk of being obvious, what do these people die
5 of?

6 CHAIRPERSON PACKER: They died. The
7 problem with trying to classify deaths in heart
8 failure is that all of us who have been on mortality
9 classification committees realize how difficult the
10 process is.

11 Let me say that the data from the
12 abstracts or from the one paper never made clear what
13 they died of. In the oral trials, attempts were made
14 to determine sudden death versus pump failure, and in
15 reality, depending on the study you look at, you can
16 find an increased in sudden death, and in another
17 trial increase in pump failure death. There's no
18 consistent pattern.

19 DR. RODEN: So your thoughts of a
20 mechanism might be that arrhythmias might be one cause
21 and then sort of, for lack of a better term, sort of
22 flogging a dead horse is another?

23 CHAIRPERSON PACKER: I think the
24 conclusion I would feel comfortable with is that we
25 have a lot of trouble translating a description of

1 what happens around the time of death to an
2 understanding of the mechanisms of what is occurring.
3 I think that would be the only conclusion I would feel
4 comfortable with.

5 DR. DiMARCO: Milt, on those four IV
6 trials that you talked about, were those done out-
7 patient basis or were they in-patient? If they were
8 in-patient, why don't we have more information about
9 the mechanisms of death?

10 CHAIRPERSON PACKER: The implication from
11 the trials is that they were all out-patients. It
12 isn't clear in many of the cases whether the infusions
13 were always given in a sort of supervised setting or
14 not. I think that you can tell from the literature
15 summaries which are included in the handout we have
16 precious little data as to how this was done or what
17 was done.

18 DR. DiMARCO: So that, in fact, it might
19 be possible that if we take Dan's hypothesis that
20 arrhythmias contributed to some of the excess
21 mortality, that if it was done in a setting where the
22 arrhythmia could be handled either with an implantable
23 defibrillator or in a monitored setting, that we might
24 see some symptomatic benefit and no increase in
25 mortality.

1 CHAIRPERSON PACKER: Well, I think that's
2 possible. Again, assuming --

3 DR. RODEN: Assuming those arrhythmias
4 could even be handled.

5 DR. DiMARCO: What's that?

6 DR. RODEN: Not every arrythmia is
7 handleable.

8 DR. DiMARCO: Okay, but assuming if you
9 had monitoring and you, you know, stopped your
10 infusion at some point in time if you noticed some
11 change in pattern, you might be able to do it.

12 CHAIRPERSON PACKER: The impression I get,
13 John, is that, first of all, we don't know. We just
14 don't know. The impression I get is that there was no
15 particular pattern of the timing of deaths to the
16 timing of the infusions.

17 Now, I did not see any data that
18 indicated, for example, that there was a -- that the
19 difference between two treatments was entirely due to
20 sudden death, and the sudden deaths occurred during
21 the infusion of the drug. That kind of data is not
22 available.

23 So we can't conclude one thing or another.
24 Let me emphasize: number of events, very small;
25 classification of deaths, very difficult; and we don't

1 even have full reports in almost any of these trials.

2 Rob.

3 DR. CALIFF: Just a couple of comments.

4 One is I think it's worth emphasizing again how
5 infrequently negative trials get published. There's
6 one that you know of quite well that we're still
7 waiting to see. So just a comment there.

8 But, I mean, it's a real -- if you think
9 about our national system of dealing with this issue,
10 you've got practitioners out there unaware for the
11 most part of very important studies that should affect
12 the way the patients are treated.

13 The second comment, and Chris may want to
14 say more about this, in the database of the first
15 study we've had a chance to look at the observational
16 view of out-patient dobutamine. One of the puzzling
17 findings that we had was that there was a detrimental
18 effect of the prostacyclin analog, in general. It was
19 very evidence in Europe, but not so evidence in the
20 United States, and the question was whether that was
21 because the United States was using the IV out-patient
22 therapy better or whether there was something wrong
23 with the placebo group in the U.S., and the big
24 difference was a very high rate of the use of
25 dobutamine in the placebo group.

1 And it turns out in the analysis that IV
2 dobutamine is associated with a substantial increase
3 in the risk of death and certainly no improvement in
4 quality of life in that study with a fairly large
5 sample size.

6 So it's not definitive information, but it
7 very much supports what you've shown here.

8 CHAIRPERSON PACKER: Ileana.

9 DR. PINA: I just want to underscore in
10 these trials that you showed here how very different
11 the monitoring system was, if we even know, how poorly
12 electrolytes were perhaps followed, which may be the
13 substrate for arrhythmic deaths, if that's the mode of
14 death, and how little firm data we really do have and
15 perhaps need it.

16 CHAIRPERSON PACKER: Barry, I know you
17 wanted to add some comments as well. So we'll ask
18 Barry to proceed with his comments.

19 DR. MASSIE: Yeah. Could I have that
20 carousel of slides? I just wanted to particularly
21 comment on something related to mechanisms other than
22 arrhythmias. So let me go through most of what I was
23 going to show.

24 This just makes one point that Milton
25 touched to. Can we focus that somehow? Could you

1 focus that? Yes.

2 This is the differential of mortality in
3 the PROFILE, the flosequinan trial of Class III and IV
4 patients, and I think you can see that it really is
5 the Class IV patients that were at highest risk. This
6 is mortality increase.

7 The same, although not quite to the same
8 extent, was true with milrinone in PROMISE, and again,
9 the people who are most likely to treated with IV
10 therapy, I think, are those that are more severe.

11 The other point I wanted to amplify that
12 Milton made before talking about mechanisms a little
13 bit is the dose dependence of these. Where several
14 doses have been looked at, either directly or
15 indirectly, it's always been the case where the
16 toxicity comes out at a higher dose than a lower dose.

17 My concern about intravenous therapy is
18 that we don't know what dose we're giving, what's high
19 and what's low, and in this whole different approach
20 to therapy, we need to have some information about
21 what the appropriate dose is.

22 Now, getting to -- let's skip that -- the
23 issue of arrhythmias, the study that was most
24 accurately looked at in terms of chronic therapy for
25 arrhythmias was the PROMISE trial with milrinone, and

1 this was a study that we did looking at holter
2 variables, which we know are not good surrogates for
3 ultimate arrhythmic death, but in fact, nearly the
4 entire excess mortality in the PROMISE trial was at
5 least classified by the event committee as being
6 sudden.

7 So there's no doubt that arrhythmias are
8 important here, but even as the small intravenous
9 experience Milton alluded to suggests, where there
10 were three people in the dobutamine infusion who went
11 on to urgent transplantation, that may not be the
12 entire issue, and that's what I wanted to say just a
13 couple of words about.

14 This is a trial from an abstract that
15 hasn't been published as a paper that we did
16 participate in, as well, and this was an interesting
17 design where a group of people was randomized to be
18 treated with either milrinone or digoxin over a six
19 month period. This is oral therapy.

20 At the end of that six month period, there
21 was hemodynamic measurements before, and then there
22 were hemodynamic measurements at the end of the six
23 month period, but these measurements were performed 48
24 hours after the drug was stopped.

25 So I think the important finding here is

1 that at the end of six month exposure to milrinone,
2 there is a significant change in hemodynamics that was
3 not seen with digoxin, at least by some parameters.
4 The cardiac index had fallen by 12 percent from
5 pretreatment, the stroke volume index also by 12
6 percent, and the pulmonary capillary wedge pressure
7 had gone up.

8 The same findings were not found when
9 digoxin was removed, and although we know the
10 pharmacokinetics of digoxin are such that maybe there
11 is some residual digoxin effect, this deterioration
12 during treatment or best observed when the treatment
13 itself is withdrawn so the deterioration of cardiac
14 function during chronic exposure is important, and
15 actually Milton reported this with amernone, as well,
16 earlier.

17 So what could this mean? I think that
18 this is our own data, and I apologize. It's not
19 published, but this shows something that I think is
20 relevant to at least intermittent intravenous
21 infusions.

22 This is one hour of infusion of dobutamine
23 at a dose of 20 microgram per kilogram in pigs, and
24 what we're looking at is a group of controlled pigs
25 normalized for baseline at the end of 15 minutes, at

1 the end of one hour of infusion, and then one hour
2 after the infusion was stopped, and in this
3 preparation things deteriorate over time. It's an
4 open chest pig model, but look at what happens to the
5 normal controls when the drug is withdrawn, and even
6 more so when we have hypertrophied pigs, which is what
7 we're studying.

8 And the other evidence which I think is
9 interesting, again with dobutamine, looking above
10 inside a solid calcium transients and below developed
11 pressure in perfused rat hearts. This is baseline,
12 but fourth returns far below baseline. Again, one
13 hour of exposure to the drug.

14 Well, can we make anything -- oops. I'm
15 trying to move forward here -- of this information?
16 And I want to go back, I think, to this slide, and
17 there's some interesting information that Milton
18 provided me from his as yet unpublished profile
19 experience, which I think is helpful and actually
20 coincides with observationally what has been seen with
21 some other inotropic agents.

22 This was a trial, as you remember, that
23 was stopped by the Data Safety and Monitoring
24 Committee because of increased risk of death in the
25 treated patients, but I think wisely this group

1 decided to look at what happened in the 30 day period
2 of withdrawal from therapy.

3 And remember the excess mortality in the
4 Class IV patients with flosequinan was substantial,
5 suggesting at the end of the trial that perhaps the
6 placebo group patients left behind should have been
7 sicker.

8 But during the 30 day period of
9 withdrawal, you can see worsening heart failure.
10 Hospitalization for worsening heart failure, ER visits
11 for worsening heart failure, the need for ID
12 diuretics, the need or perceived need for IV positive
13 inotropes were all greater when flosequinan was
14 withdrawn.

15 And I think that's very important because
16 it suggests that there's something about chronic
17 exposure that causes deterioration of underlying
18 cardiac function, and I guess we can end by talking
19 about what those might be.

20 I think there's well documented evidence
21 that chronic exposure to catecholemines desensitizes
22 contractile proteins. It should be a short-lived
23 effect, not one that would explain 30 days of
24 increasing risk when drugs are withdrawn after chronic
25 therapy, but that could be a reason for decline in

1 contractility.

2 Energetic imbalance, or as Dan said,
3 flogging a heart in terms of its energetic
4 requirements. We have beta receptor down-regulation
5 could play a role. Neurohormonal activation and its
6 consequences could play a role, but it could be that
7 this chronic exposure is causing accelerated cell
8 death either by necrosis mechanisms or apoptosis
9 mechanisms.

10 I don't think we understand this
11 phenomenon, but I think it says that monitoring a
12 patient during an infusion is not necessarily going to
13 guarantee us that chronic exposure can be safe.

14 So let me stop there.

15 CHAIRPERSON PACKER: Questions for Barry?
16 Udho.

17 DR. THADANI: Barry, a lot of the data
18 you've shown is based on the oral long term studies in
19 which the patient is like a dead horse analysis that
20 I think Bob mentioned before because their hearts are
21 sick and you can flog them long enough and perhaps
22 there is cardiotoxicity and withdrawal because they
23 still need the inotropic support. You withdraw it
24 and, you know, they fall apart.

25 Can you apply, given that very little

1 database on the IV drugs we have seen, where most of
2 the trials are not published, can one be sure that the
3 short term is harmful?

4 The reason I'm asking this now, because
5 most of the patients were on transplant lists. In
6 order to get into priority lists, all of them are on
7 IV inotropes. Otherwise they do not get on the
8 transplant list.

9 So if you're going to tell somebody that,
10 you know, IV inotropes are bad, you're going to have
11 all of the transplant surgeons coming after your life
12 because all those patients are going to be denied
13 transplants, at least the priority list.

14 I'm sure in your part of the world, the
15 same as in Oklahoma at the moment. So is there any
16 data in those transplant patients who are on inotropes
17 versus who are not for the same -- I'm sure there are
18 a lot of people in big transplant centers to say that
19 the mortalities really increase. I know that's not a
20 perfect experiment, but there must be some data out
21 there to show those people are just flying like flies
22 -- dying like flies.

23 DR. MASSIE: Well, I think -- let me make
24 a couple of comments, but then turn over the answer to
25 that to the people who are better qualified than I am

1 to address what happens during chronic exposure while
2 awaiting transplant.

3 First of all, I think that none of these
4 data tell us that chronic inotropic exposure makes the
5 heart worse for sure. I think they raise important
6 questions, and I think I really second what the
7 Committee has been saying all along, is that we need
8 to know what happens in an objective manner, you know,
9 following chronic exposure no matter how it's given,
10 and whether that translates to four hours a week, four
11 hours a month or whatever, we need to understand that
12 before we recommend giving it in that way.

13 I think that the transplant group is
14 unique, but what we do see is as long as you're
15 receiving the agent, you seem to be better off than
16 when you're not receiving the agent after you've been
17 exposed chronically. So it's not a situation where it
18 will be easy to uncover, and if these patients
19 deteriorate during chronic exposure awaiting
20 transplant, nobody would be surprised, and nobody
21 would know whether or not to blame the inotropic
22 therapy, but what we would know is that if you
23 withdrew it, things might look very bad under those
24 circumstances.

25 I don't know how you would do a controlled

1 study to decide comparing -- not a controlled study,
2 but try to impute whether these people are better off
3 than not. I guess what leads me -- let me just finish
4 -- what it leads me to wonder about is the
5 appropriateness of perhaps nonindicated chronic
6 inotropic exposure just to advance somebody on the
7 list. That really does concern me.

8 DR. THADANI: But there is some data that
9 at least we know a lot of patients are waiting for
10 transplant die, and yet in the earlier days were put
11 on the transplant list. Patient had been on long term
12 inotropes in the hospital, for several days
13 dobutamine, and they have not died of arrhythmic
14 deaths, and that's what gave a lot of physicians the
15 confidence to start intravenous home therapy.

16 So I buy your point there is some
17 suggestion. As Milton said, we don't know the
18 mechanism of death. We are invoking arrhythmias, and
19 yet it was not seen so much because in hospital you
20 would have picked it up. You know, they would have
21 had VF. You would have known the data, and that's why
22 physicians have gone and yet left them on IV inotropes
23 so that they still meet the list criteria.

24 So I think there must be some data out
25 there. Perhaps you know, we could mandate it or

1 people in the centers who are doing a large number of
2 transplants could address that.

3 CHAIRPERSON PACKER: Ileana.

4 DR. PINA: You know, we looked at this in
5 '95. We retrospectively looked at our admissions of
6 patients who had come in decompensated and that we had
7 done inotropic therapy and up-titrated their drugs, et
8 cetera, and I can tell you that our arrhythmic
9 events -- I don't have the numbers in my head -- were
10 very, very small.

11 You're dealing though with a multi-
12 approach to the heart failure issue. I mean these
13 patients are on ACE inhibitors. They're well
14 medicated. If they have any evidence of arrhythmias,
15 many of them are on amioderone because of our EP
16 group. Some have even had defibrillators put in.

17 Our mortality on the waiting list with our
18 rather aggressive approach that we're known to have at
19 Temple is about seven percent, which is actually quite
20 lower than the quoted 11 or 14 percent, is it not,
21 Lynne, the waiting list mortality?

22 The annual waiting list mortality is about
23 somewhere between 11 and 14 percent -- with this very
24 aggressive approach. So I think you're right. As
25 long as you have the patients on the drip and you

1 haven't stopped them, which is what this population
2 is, there is the data.

3 It's retrospective. We have it in
4 abstract form, and are preparing the manuscript.

5 How are you going to do a controlled study
6 in that group of patients? I don't think you can.
7 You may want to compare one agent versus another, and
8 we have trials like rematch trial now that will look
9 at VADs versus inotropic agents at home.

10 I don't know how you --

11 DR. MASSIE: I think the interesting thing
12 scientifically to do would be to look at the hearts of
13 people withdrawn after chronic IV inotropic versus
14 those that are not. Unfortunately they wouldn't be
15 comparable patients necessarily, but you may be able
16 to figure out the mechanism of what's going on during
17 chronic exposure at the tissue.

18 DR. THADANI: Well, the patients on the
19 transplant list are Class IV failures, right? So
20 these are the most high risk patients, and yet you're
21 not showing a very high mortality. So I think there's
22 something missing in the equation of intermittent, and
23 my worry is I don't think we have any clue that we can
24 translate what happened in the oral therapy, which is
25 continuous throughout the 24 hours, with the

1 intermittent therapy. We don't have data.

2 I'm not saying they are not harmful or
3 useful. I think there's no data, and I think with the
4 transplant issue, the data should have been available.
5 I don't know why it's not.

6 CHAIRPERSON PACKER: Yeah. The transplant
7 situation is a little bit -- I think everyone realizes
8 -- very difficult to interpret because the patients
9 who were put on IV inotropes to get transplanted or
10 because they need inotropes because they are in
11 desperate need of transplant is a patient population
12 very different than the patient population who gets a
13 transplant without IV inotropic therapy.

14 Now, in the past there has generally been
15 a distinction made between UNOS I and UNOS II, but
16 even so there is a difference in the severity of
17 disease in a patient who the physician says needs
18 inotropic therapy to get a transplant. So there would
19 be no basis of doing a comparison here because there
20 is no adequate control group unless you're prepared to
21 randomize.

22 You can't find a control group of equal
23 severity that you can use as an adequate matched
24 control even retrospectively. So I assure you that if
25 you looked at the mortality in the people who got IV

1 inotropes or looked at the hearts of people that got
2 IV inotropes they would be worse, but they're worse to
3 begin with.

4 Lynne.

5 DR. STEVENSON: I'm just trying to make a
6 couple of comments.

7 Clearly, as you indicate, we do have our
8 most experience from patients who are awaiting
9 transplant. There's nothing in that experience which
10 would give me what we would have called this morning
11 reassurance that that's a safe therapy.

12 If we look, for instance, at Les Miller's
13 experience of 25 patients on home dobutamine while
14 awaiting transplant, two of those patients required
15 LVADs. Six patients died. So that's clearly not
16 something that would necessarily give us comfort,
17 although perhaps shouldn't give us undue alarm.

18 I think there are many programs who do not
19 use frequent home inotrope infusions that have similar
20 out-patient mortalities to what Dr. Pina describes,
21 and although I don't want to focus on this, this is
22 just an example of the fact that we don't know what's
23 involved.

24 There have been several reports now of
25 series of patients on chronic dobutamine in whom

1 eosinophilic myocarditis has been demonstrated, which
2 seemed clearly to be associated with worsening cardiac
3 function, but I use that only as an example of the
4 fact that we really do not know the safety of long
5 term dobutamine even in this population that's closely
6 monitored.

7 CHAIRPERSON PACKER: Okay. I understand
8 that there are a few clinicians that -- actually
9 two -- that Sanofi has asked to come and speak to the
10 issue of IV therapy long term. Can you please
11 identify yourself and the institution?

12 MR. HORNE: Sure. My name is Ron Horne
13 from the University of Iowa.

14 I want to participate in the discussion
15 that we just had and raise the issue of patient
16 selection in our critical thinking of the trials that
17 were outlined. I think that we would all agree that
18 there's a significant minority of patients with
19 advanced heart failure who have clinical and
20 hemodynamic decompensation that either persists or
21 rapidly recurs despite maximal vasodilator, diuretic,
22 and short term intermittent IV therapy.

23 It's in this patient population that
24 there's a large anecdotal experience of intermittent
25 IV therapy to treat that episode of decompensation.

1 I think that this experience outlines the short term
2 tolerability of this approach and suggests clinical
3 stability.

4 I question the application of the existing
5 data which examined chronic inotropic use, either IV
6 or oral, to that patient population.

7 CHAIRPERSON PACKER: Because of the
8 severity of disease?

9 MR. HORNE: Yes, yes. I think my
10 experience with these trials is that there's a period
11 of stability that's often required in the baseline
12 phase prior to entry to the trial, and so the patients
13 who I outlined would not fit in those trials.

14 So I understand that there is a similar
15 lack of or that there is a lack of data, either
16 positive or negative, examining the use of any type of
17 inotropic therapy in the patient population that I
18 just outlined.

19 CHAIRPERSON PACKER: Just for purpose of
20 clarification, some of the long term trials of
21 inotropic agents, in particular PROMISE, had very
22 little, almost none in the way of stability criteria.
23 The patients who were enrolled, that is a study in
24 which if I remember 55 to 60 percent of the patients
25 were Class IV, to begin with, and that's the patient

1 population, by the way, that suffered the greatest
2 increase in mortality, a 53 percent increase in risk.

3 MR. HORNE: I don't know -- maybe you
4 do -- how many of those patients fit the population
5 that I just outlined, those who have --

6 CHAIRPERSON PACKER: I think a substantial
7 portion of those fit precisely the criteria that you
8 would enroll in an intermittent -- a trial of
9 intermittent therapy.

10 MR. HORNE: I would look forward to
11 looking at those data.

12 CHAIRPERSON PACKER: Marv?

13 DR. KONSTAM: You know, your point might
14 have validity, that is, that there might be subsets of
15 patients to which the control data set don't well
16 apply, but I think that that argument would carry some
17 more weight if there were any control data to support
18 the effectiveness of these agents in particular
19 populations.

20 So since there aren't any such data, I
21 think we're relegated to look at the pretty broad data
22 set that does exist that clearly points to excess
23 mortality, and as Dr. Packer points out, particularly
24 in the patient -- in a number of cases, particularly
25 in the patients with Class IV.

1 And I think that, you know -- I think the
2 point that you're raising, that perhaps this data set
3 doesn't apply to subsets, I don't find that useful in
4 the absence of any data that point to the contrary.

5 CHAIRPERSON PACKER: Please, and please
6 state your name and affiliation.

7 DR. FRIEDMAN: I'm Dr. Abe Friedman. I'm
8 an associate clinical professor of medicine at the
9 University of Pittsburgh. I'm a critical care
10 cardiologist at Shadyside Hospital, where I emphasize
11 in treating congestive heart failure.

12 I think it's important when we look at the
13 data to establish facts that are honest, and I think
14 it's very honest to say that chronic oral inotropic
15 therapy right now is potentially -- is dangerous, and
16 the data is very clear that you presented, but we have
17 to be careful because some of the inotropes that you
18 did use were not purely Beta Is and were not purely
19 phosphodiesterase inhibitors.

20 Vesnarinone with its rectifying potassium
21 current; pimobendan with its calcium sensitization.
22 So across the board there, you can even make critical
23 comments about some of the studies that have been
24 mentioned, particularly looking at potassium levels,
25 magnesium levels, and digoxin levels, and chronic

1 therapy may be potentially dangerous.

2 Now, let's talk about the other issue of
3 interchronic, intermittent therapy, and an honest
4 comment here would be that there are no well
5 controlled placebo studies to support it. There are
6 a lot of clinical -- there's a lot of clinical data,
7 probably an additional 14 other studies that you did
8 not mention that do support its use, but none is well
9 controlled and placebo controlled data.

10 I think it's important also that when we
11 look at these populations, what populations are we
12 really treating? I'm predominantly at Shadyside
13 Hospital in Pittsburgh, and you'll excuse me. I'm a
14 practicing clinical physician. I practice every day.
15 I teach. I publish, but I'm in the infantry in taking
16 care of these patients.

17 And in my patients, I treat predominantly
18 the Medicare population. Now, this is the population
19 with the most episodes of heart failure and also the
20 most recurrent episodes of heart failure, and in my
21 population, I do not have bridges to a transplant, and
22 my bridge is potentially to stabilization.

23 I'd like to make comments about Class IV
24 if you'll permit me using clinical data, not well
25 controlled placebo data, but you're seeing the

1 patients because you've already alluded to them.
2 These are the patients that you have stabilized on
3 maximal medical regimen, which include the usual
4 drugs, including even beta blockers, and you may have
5 even given a course of inotropic therapy in our ICUs
6 or monitored settings.

7 Now, what do you do within approximately
8 one week or two weeks when these patients come back
9 into the hospital? Now, this is a burgeoning
10 population that continues to increase, and for us to
11 make some sort of improvement in decreasing their
12 hospitalizations, at the present time I personally do
13 not know of any drug on the market that is available
14 that is any better than what we have. Certainly on
15 the horizon I don't know of anything better, including
16 endothelial drugs.

17 So we have used quite heavily intermittent
18 inotropic therapy. Now, intermittent inotropic
19 therapy can be potentially dangerous, and we only use
20 it in monitored settings. That means a low level
21 monitor, and we do not start the therapy unless
22 potassium levels are greater than four, magnesium
23 levels are greater than 1.6, and digoxin levels are
24 less than 1.5.

25 There are the patients that we do send

1 home on chronic home dobutamine therapy that cannot be
2 monitored. These patients, however, are monitored
3 fastidiously with electrolyte control.

4 So in these patients right now we feel
5 that monitor therapy -- I feel that if we're going to
6 approve any drugs in the future that they should be
7 stated on monitors with only fastidious control.

8 Now, one study that everyone talks about
9 is Dr. Dies' study from Lilly, the dobutamine trial,
10 which was 48 hours. Now, why did these studies pick
11 48 hours?

12 If you look at the history of IV inotropic
13 therapy, it starts with Liang and Overith, starting at
14 72 hours, subsequently coming with Applefield and Dies
15 going 48 hours, and today coming to studies of
16 approximately 24 hours, and in the Lesfield
17 population, six hours as out-patient, and this is the
18 tailoring that has been done by clinicians using this
19 trial.

20 I recently contacted the Lilly Education
21 Department and was kind enough to obtain some data on
22 the Dies trial that wasn't published. Now, in all
23 fairness to Dr. Dies, who's an excellent investigator,
24 this was done -- this study was started in 1984 and
25 went through 1986.

1 In his population, 12 of the dobutamine
2 patients had potassiums less than 4.0, and the range
3 went from 3.5 to 4.7.

4 In addition, seven out of the ten sudden
5 deaths occurred on dobutamine infusion.

6 Now, we don't have any major specific
7 markers for sudden death in this population. We know
8 what can increase the incidence of sudden death, but
9 when you're using larger doses of dobutamine, and in
10 his trial the average dose was eight micrograms per
11 kilogram per minute, upwards of 15 micrograms per
12 kilogram per minute, is it a surprise that we had
13 increased incidence of sudden death in that
14 population?

15 And is it a surprise that we had increased
16 incidence in the dobutamine group that had greater
17 than four runs of ventricular tachycardia?

18 So, therefore, it is imperative that
19 monitoring electrolytes be addressed.

20 In addition, today not only has the time
21 period that we're treating these patients gone into a
22 metamorphosis. The doses have gone into
23 metamorphosis, and I use all three drugs. I start
24 with dobutamine first because it's the cheapest drug
25 available that is out there, but sometimes because of

1 arrhythmias and tachycardia and blood pressures, you
2 have to go on to either using milrinone or amrinone.

3 So based on a clinician's input, when the
4 FDA -- when you folks are making decisions in the
5 future, I think it's very important that we look at
6 all of these parameters, and we do need placebo, well
7 controlled trials in order to help us, to guide us to
8 those of us who are in the day in and day out care of
9 patients.

10 Thank you very much.

11 CHAIRPERSON PACKER: Can you stay by the
12 microphone?

13 Ileana.

14 DR. PINA: I'm in the trenches, too. We
15 have 2,400 heart failure patients in our clinic, and
16 of everybody that comes to us probably only 20 percent
17 of patients eventually get transplanted. So I can
18 share your frustration at patients that come back time
19 and time again.

20 As we try to look at intermittent therapy
21 in order to start protocols and to do it in a
22 prospective fashion, we were met not only by the
23 trials that you're stating where the potassium was
24 low, doses were very high, but there's no consensus as
25 to frequency, dosing. There are no well done trials.

1 So I'm not saying that it can't be done or
2 that it shouldn't be done, but we've got to collect
3 data in a much more perhaps intelligent, prospective,
4 and organized fashion.

5 And I agree with you that the number of
6 these patients is going to continue to go up. It's
7 not going to go away, and this is not the population
8 that you put in a study. This is a very, very sick
9 population.

10 And in spite of all our medical therapy,
11 they still get sick. So I share your concerns, but I
12 also feel that we need some sort of perhaps not
13 standardization, but some sort of dose ranging,
14 protocols of frequency of monitoring, places of
15 monitoring, and where these types of therapies should
16 be done, if they should be done.

17 DR. FRIEDMAN: I agree with you 100
18 percent, and that's why my first sentence included the
19 fact that there have not been well controlled trials,
20 and those of us who are treating patients in the
21 trenches sort of use, if you'll permit this term,
22 clinical dosing ranges, not hemodynamic dosing ranges.

23 For example, our average dose in treating
24 dobutamine is approximately 2.5 to five micrograms per
25 kilogram per minute. If I give that patient 20

1 micrograms per kilogram per minute like the pigs were
2 given, I know I'm going to be getting into trouble.
3 There is no doubt in my mind that that's the case.

4 We have done similar dosing with milrinone
5 and similar dosing with amrinone without loading
6 because sometimes we see that loading not only causes
7 some hypotension, but in itself may be arrhythmogenic,
8 and how do we know? How do we find out how patients
9 get better?

10 Well, I published 13 patients that were
11 severe resistant Class IV, and we showed -- you know,
12 13 patients, not a lot of patients, but we certainly
13 showed a decrease incidence in coming back into the
14 hospital, and these are the papers that we're seeing.

15 When the patients are severe Class IV and
16 they enter the hospital and they can't go to the
17 bathroom without getting dyspneic, and then you're
18 able to show at least clinically that they're
19 improving.

20 Now, for example, how do I make a decision
21 about when do I start intermittent therapy? That
22 decision is made once that patient has failed maximal
23 medical therapy, BUNs of 60 to 80, creatinines of
24 approximately two to three, systolic blood pressures
25 of approximately 80 to 100, given a course of

1 inotropic therapy, and then they rebound.

2 When I first was doing it, I was very
3 frightened because there was no data at hand. It was
4 72 hours, but now we let that rebound occur within
5 approximately one to two weeks.

6 CHAIRPERSON PACKER: Let me ask one
7 question which I think is on the minds of everyone on
8 the Committee. You sound like you're convinced that
9 in the appropriate hands, used in the appropriate
10 manner, with the appropriate monitoring, that
11 intermittent IV therapy is going to be safe and
12 effective for the -- as a long term management
13 strategy -- for selected patients with heart failure.

14 DR. FRIEDMAN: I think that's a fair
15 comment, Dr. Packer.

16 CHAIRPERSON PACKER: Why has there been no
17 placebo controlled trial conducted to demonstrate such
18 an effect?

19 DR. FRIEDMAN: I don't think you have any
20 well controlled placebo trials to negate such an
21 effect.

22 Number two, I am not a -- I am not an
23 academician. All right?

24 CHAIRPERSON PACKER: Maybe I can rephrase
25 the question. How do you know what you know in the

1 absence of a control group?

2 DR. FRIEDMAN: I'd like to say the same
3 thing that Dr. Stevenson said just a little bit ago.
4 I know, and I can only base that on how I've seen my
5 patients, how I've treated them for the last eight
6 years, and as I also told you, that I present to you
7 not academic value with P values and confidence
8 intervals. I'm speaking to you only as a clinician
9 right now.

10 Do I have the data at hand? I go back to
11 my first sentence. That data is not available. We
12 need that data.

13 CHAIRPERSON PACKER: In fact, the data
14 suggests a strong possibility of harm.

15 DR. FRIEDMAN: I beg to differ on that
16 issue. Intermittent inotropic therapy has not
17 necessarily been shown to show harm. You cannot
18 extrapolate oral inotropic data to intermittent IV
19 inotropic data. I don't think the studies are large
20 enough.

21 CHAIRPERSON PACKER: Rob?

22 DR. CALIFF: Well, I just want to make one
23 comment, and then I know Lynne wants to make some
24 comments.

25 It's a difficult area, and I think a lot

1 of us have struggled with these patients. I actually
2 don't see many patients these days. Other people on
3 the panel are still pretty active clinically, but I
4 used to see a lot of them.

5 And I would just -- the only comment I
6 would make about your presentation, the commitment is
7 obviously there, but the word "clinically" to a lot of
8 us, I think, is a very charged word because, you know,
9 I would replace that with anecdotally.

10 I mean many of us are clinicians and see
11 patients, but we've learned that we can be fooled in
12 our commitment by observations that we make without
13 understanding what would have happened had we not used
14 one or another therapies in our armamentarium.

15 And we can go through a whole list of
16 things in cardiology where equally committed and well
17 meaning people have come to conclusions such as yours
18 and turned out to be wrong. There are also examples
19 where they've turned out to be right.

20 But I would just urge not to fall back on
21 the word "clinical" because to many of us the highest
22 form of clinical practice is controlled observation
23 where you can draw a conclusion and then practice
24 based on the evidence, and I think what a lot of us
25 are desperately seeking is some sort of confirmation

1 in the highest form that what we hope to be correct
2 really is, that there is this group of patients that
3 we treat and can help.

4 CHAIRPERSON PACKER: Lynne.

5 DR. STEVENSON: I would like to commend
6 you for your incredible dedication to this really
7 difficult job, but in terms of what you feel to be
8 beneficial and what has been described in uncontrolled
9 series of other people's experience with intermittent
10 milrinone could well be attributed to the fact that
11 these patients are seen on a regular basis. They're
12 coming back.

13 They're followed extremely closely in
14 terms of electrolytes and everything else, and the
15 benefit of that type of intensive management program
16 has been well documented, and the benefits observed
17 from that are very similar to or superior to those
18 which have been observed with the infusions of
19 milrinone.

20 So I would suggest that the program is of
21 critical importance, but we want to make sure that
22 we're not somehow arranging that program by using a
23 drug which itself might be deleterious.

24 DR. FRIEDMAN: It is very interesting
25 though. When you -- I'm sorry. I was going to

1 respond. Maybe you'd better -- may I respond to that?

2 CHAIRPERSON PACKER: Sure.

3 DR. FRIEDMAN: There is no doubt that one
4 of the criticisms of intermittent inotropic therapy is
5 the fact that these patients are watched and they're
6 seen by a physician and told, "Are you taking your
7 lasix? Are you taking your medication? Are you
8 restricting your fluids and you're restricting the
9 salt?"

10 I feel though that that data is still
11 weak, and it's very interesting. Over the years when
12 we have stopped the medication for one or more reasons
13 and are still seeing the patient -- the patient
14 doesn't want to go into the protocol or doesn't want
15 to go into the form of therapy -- even though they're
16 being seen and examined, they generally rebound within
17 approximately two months, and those patients that I
18 have described, what I call my Class IV resistant,
19 that they don't go out more than a few weeks.

20 CHAIRPERSON PACKER: JoAnn?

21 DR. LINDENFELD: I think that we've all
22 seen these patients. Many of them are chronic
23 patients who are very ill, and I think what makes me
24 feel like we need more data as everyone has discussed
25 is now the patients are asking us when they come in,

1 "Do I really need this? Will this help me? Is this
2 going to make a difference in three months or should
3 I just not come in the hospital?"

4 And I don't think I can tell them that.
5 I don't have the same confidence that you do on this
6 long term therapy, and I think we need those answers
7 because I think the patients themselves are asking
8 that question.

9 CHAIRPERSON PACKER: I think it would be
10 fair to just remind ourselves that about ten years ago
11 when oral milrinone was available under an
12 investigational program, that there were many, many
13 clinicians who used the drug in an open label fashion
14 in patients with heart failure, many of them very,
15 very sick, and swore by the drug, said the drug made
16 people feel better, kept them out of the hospital.

17 When they compared the events and symptoms
18 in patients receiving oral milrinone to patients -- to
19 the period before they received the drug, there were
20 dramatic, dramatic clinical benefits, symptomatic
21 benefits: reduction in hospital days with what was
22 deemed to be a very, very acceptably low mortality
23 rate.

24 When milrinone was put into a large scale
25 trial in this patient population, the drug did not

1 make anyone feel better, didn't reduce
2 hospitalizations, increased hospitalizations, and
3 increased mortality.

4 And it shows how difficult this situation
5 is and how clinical judgment in the absence of a
6 control group can give you misleading results, and
7 your experience with IV milrinone is very reminiscent
8 of the experience with oral milrinone.

9 DR. FRIEDMAN: Dr. Packer, I'm not here to
10 give a selling point or an advertisement for IV
11 milrinone. I use all three inotropes, and I'm not
12 here to say -- I mean, I wish I had more data. That's
13 what I'm telling you, and that's what I'm here to ask
14 that we all do, that we do develop the studies to give
15 us that information.

16 But at the present time I do not have any
17 better ways to take care of these Class IV patients.

18 CHAIRPERSON PACKER: But that's what they
19 said when oral milrinone was being evaluated. They
20 had no better way of taking care of the patients.

21 The reality is they did have a better way.
22 It was called placebo.

23 DR. FRIEDMAN: Dr. Packer, if I give my
24 patients placebo, they will not get better. I'm
25 talking about now Class IV resistant patients who are

1 on maximal medical therapy, and in that situation
2 placebo is not going to take care of them.

3 And also, I don't think you can make --
4 you cannot make the transition from oral, chronic
5 inotropic therapy to intermittent inotropic therapy,
6 whatever drug you use.

7 DR. CALIFF: One thing that would be
8 useful from my perspective would be just to get your
9 point of view on how large of a difference you think
10 intermittent inotropic therapy -- if you took 100
11 patients who fit your population that you described
12 and treated, half with placebo and half with -- or
13 200, half with placebo, half with inotropic therapy,
14 what would be the magnitude of the difference in
15 symptomatology or staying out of the hospital that you
16 would think would occur?

17 DR. FRIEDMAN: You're asking me to give
18 you my, you know, personal opinion --

19 DR. CALIFF: Yea.

20 DR. FRIEDMAN: -- that's not found on any
21 -- so if you'll permit me to do that and you won't
22 come back at me saying that there's no data, I'm more
23 than happy --

24 (Laughter.)

25 DR. FRIEDMAN: -- I'm going to be more

1 than happy to do that, but I want to make sure that
2 the ground rules are fair.

3 In that situation, if you will give me
4 those Class IV patients who are truly Class IV, I
5 believe in the right hands and the right monitoring if
6 it's done correctly that we will be able to keep them
7 out of the hospital with recurrent admissions for
8 congestive heart failure by giving them their 24 hours
9 of intermittent inotropic therapy monitored.

10 DR. CALIFF: You mean you reduce
11 hospitalizations by 50 percent?

12 DR. FRIEDMAN: If not more.

13 DR. CALIFF: And you would have no
14 increase in mortality?

15 DR. FRIEDMAN: If done correctly, that is
16 correct.

17 DR. CALIFF: Okay.

18 CHAIRPERSON PACKER: Well, a decrease in
19 hospitalizations by 50 percent and no increase in
20 mortality probably in Class IV patients would probably
21 only take a couple hundred patients followed for four
22 to six months.

23 DR. CALIFF: Until they die.

24 CHAIRPERSON PACKER: Yeah.

25 DR. CALIFF: I mean Class IV patients have

1 a mortality --

2 CHAIRPERSON PACKER: Pretty doable.

3 DR. CALIFF: Yeah.

4 DR. FRIEDMAN: And I believe in this Class
5 IV -- severe Class IV population -- I think that you
6 would be honest in saying that if you do nothing to
7 these patients 30 to 50 percent are going to die
8 within a year. Is that fair?

9 CHAIRPERSON PACKER: Yes.

10 DR. FRIEDMAN: Okay, without using
11 inotropic therapy, et cetera.

12 CHAIRPERSON PACKER: Okay. Why don't we
13 continue with the discussion on question nine? The
14 question that's posed to the Committee is the paper on
15 -- the review on long term treatment concludes that
16 positive inotropic agents have not been shown to be
17 effective or safe in the treatment of chronic heart
18 failure during long term use whether given
19 continuously or intermittently or whether given orally
20 or intravenously.

21 Instead long term treatment has been
22 associated with a consistent increase in the risk of
23 hospitalization or death.

24 Do you agree? And we should actually go
25 through and take a vote on this.

1 Yes, I'm sorry. Marv?

2 DR. KONSTAM: Well, I just -- you might
3 change the last sentence to include the word
4 "continuous." You know, the previous sentence says
5 given continuously or intermittently. Instead long
6 term treatment has been associated with consistent
7 increase in risk for hospitalization and death.

8 I mean with the exception of the Dies
9 study, if I'm not mistaken, everything else is based
10 on chronic persistent oral use.

11 CHAIRPERSON PACKER: Yeah, we have the
12 DICE study as well, which goes in the wrong direction.

13 DR. KONSTAM: That's the one exception,
14 but that's not a pretty -- that's not --

15 CHAIRPERSON PACKER: DICE and Dies are two
16 different studies.

17 DR. KONSTAM: Oh, is that -- oh, DICE.

18 CHAIRPERSON PACKER: DICE.

19 DR. KONSTAM: Right. Okay, but I think
20 where the data are crystal clear to the point of
21 making a statement like this, it's chronic continuous
22 use, I mean.

23 CHAIRPERSON PACKER: I don't think that
24 the intent of this question is to have the Committee
25 reach any opinion on the safety of long term

1 intermittent therapy. I think that the two sentences
2 here are in themselves the conclusions of the review,
3 which is that intermittent or continuous long term has
4 not been shown to be safe or effective.

5 DR. KONSTAM: That's clearly true.

6 CHAIRPERSON PACKER: And second, that long
7 term treatment has been associated with increased risk
8 of hospitalization and death, and I think that's true,
9 too.

10 DR. THADANI: Do you want to separate that
11 into two parts? One is oral long term versus --
12 you're combining the whole issue now.

13 CHAIRPERSON PACKER: Well --

14 DR. THADANI: The last question was yes,
15 but here I think you're combining the two.

16 CHAIRPERSON PACKER: Maybe the concept
17 being embodied here is that the data exists with,
18 let's say, definitive data is with oral.

19 DR. THADANI: Yes.

20 CHAIRPERSON PACKER: Continuous.

21 DR. KONSTAM: Yeah. To me though --

22 CHAIRPERSON PACKER: Second is -- second
23 is the data with intermittent use long term is
24 nondefinitive, but trends in the wrong direction.

25 DR. KONSTAM: How many patients in the

1 DICE? How many deaths in the DICE study? Three to
2 five?

3 CHAIRPERSON PACKER: Three in five with
4 three transplants in the dobutamine group.

5 DR. KONSTAM: You know, I don't have
6 any --

7 CHAIRPERSON PACKER: No, no, the numbers
8 are small.

9 DR. KONSTAM: Right. I mean --

10 CHAIRPERSON PACKER: The question is
11 whether you think that there is any data on -- are you
12 reassured by the intermittent data?

13 DR. KONSTAM: No, it's not that, Milton.
14 Just in the spirit of saying what we know and what we
15 don't know --

16 CHAIRPERSON PACKER: Right.

17 DR. KONSTAM: -- I think the previous
18 sentence is clear. There are no data supporting -- no
19 well controlled data supporting the use in either
20 route, and then, you know, I'm a little bit more
21 comfortable. It sounds like we're being pretty
22 definitive in these sentences, and I'd like to be
23 definitive, and I think where the data are definitive
24 is in continuous use. You know, I don't know.

25 CHAIRPERSON PACKER: Okay. Then with the

1 sense that the second sentence, long term treatment
2 with continuous oral therapy has been associated with
3 a consistent increase in the risk of hospitalization
4 and death, do you agree with both of those statements?

5 And we should begin at one end of the
6 room. Cindy, do you want to begin?

7 DR. GRINES: I agree that the chronic
8 therapy has been associated with increased risk of
9 hospitalization and death.

10 CHAIRPERSON PACKER: Okay. There's two
11 statements. Do you agree with both? The first means
12 that neither intermittent or continuous has been
13 associated -- has been shown to be safe or effective.

14 DR. GRINES: I share some of the same
15 concerns that there are so few patients who have been
16 treated with intermittent IV therapy that it's hard to
17 draw firm conclusions. I agree that there's, you know
18 -- it doesn't look positive.

19 CHAIRPERSON PACKER: No, no, no. I'm
20 sorry. The statement as it reads is "has been shown
21 to be effective or safe." Intermittent therapy has --
22 I understand the data is sparse -- hasn't been shown
23 to be effective or safe, right?

24 DR. GRINES: Right.

25 CHAIRPERSON PACKER: So I mean, I'm sorry.

1 You're voting yes on one or both statements or --

2 DR. CALIFF: Say it again. Statement one
3 is that intermittent or continuous therapy has not
4 been shown to be safe or effective.

5 CHAIRPERSON PACKER: Right.

6 DR. CALIFF: It also -- I mean, the
7 implication of that statement is also that it has not
8 been shown not to be safe or --

9 CHAIRPERSON PACKER: It just says "has not
10 been shown to be effective or safe."

11 DR. CALIFF: Yeah.

12 DR. FENICHEL: Milton.

13 CHAIRPERSON PACKER: Yes.

14 DR. FENICHEL: Maybe I can help this. I
15 think maybe it's a matter of stress. The operative
16 word in the first sentence, I think, the intended
17 operative word is "shown." "Positive inotropics have
18 not been shown to be effective," dah, dah, dah.

19 The operative word in the second sentence
20 is, or operative words are "has been associated with."
21 So in the one you're making -- the first assertion is
22 there is the absence of a demonstration, and the
23 second is almost there has been a demonstration, but
24 at least there has been an indication.

25 So that's all that's being asserted, but

1 that is being asserted.

2 CHAIRPERSON PACKER: Okay. I think that
3 to be fair this should be a statement that says --
4 that implies cyclic AMP dependent agents because the
5 questions beneath it refer to other IV drugs, one of
6 them a positive inotropic drug which is not cyclic AMP
7 dependent. So we need to -- the review dealt only
8 with cyclic AMP dependent agents.

9 Cindy. I guess the vote is do you agree
10 with both statements as modified.

11 DR. GRINES: Well, we got past the first
12 one, right? We're on the second one now.

13 If you say the cyclic AMP dependent drugs,
14 I agree that the first one -- the first one hasn't
15 been shown to be effective or safe. The second I
16 still have a problem with the consistent increase in
17 the risk of hospitalization and death, and I think we
18 should maybe separate or clarify that since we have so
19 little --

20 CHAIRPERSON PACKER: Marv suggested that
21 long term treatment with continuous oral therapy --

22 DR. GRINES: Okay.

23 CHAIRPERSON PACKER: -- has been
24 associated with a consistent increase in the risk of
25 hospitalization and death. Do you agree?

1 DR. GRINES: I agree.

2 CHAIRPERSON PACKER: Okay. So we have yes
3 on both.

4 John.

5 DR. DiMARCO: I'll agree with those as
6 modified, both of them.

7 CHAIRPERSON PACKER: Lem?

8 DR. MOYE: I'm going to abstain on this
9 one.

10 CHAIRPERSON PACKER: Rob?

11 DR. CALIFF: I mean the way they're both
12 stated, they're both true from the absence of data on
13 number one and the presence of data on number two.

14 CHAIRPERSON PACKER: JoAnn?

15 DR. LINDENFELD: I agree with both.

16 DR. KONSTAM: Yes.

17 CHAIRPERSON PACKER: Udho?

18 DR. THADANI: Yes for both.

19 DR. PINA: I agree with both statements as
20 modified.

21 DR. RODEN: Yes.

22 DR. MASSIE: Yes.

23 CHAIRPERSON PACKER: Okay. The next --
24 does this conclusion apply to dig., nitroglycerin or
25 nitroprusside? I think we can take all three at once.

1 DR. THADANI: What about the intermittent
2 I --

3 PARTICIPANT: You have to go back to the
4 intermittent.

5 DR. THADANI: Yeah, because you excluded
6 the intermittent from the question completely now
7 because you went to question 1(a). One (b) you
8 changed it to only orals. What about intermittent?
9 Because the whole discussion was on intermittent. So
10 we have to make a statement we don't have data or
11 there's some wrong directions. I think we can't --

12 CHAIRPERSON PACKER: Well --

13 DR. THADANI: -- just leave it up in
14 limbo.

15 CHAIRPERSON PACKER: Yeah, I agree with
16 you. We've already said in the first half that there
17 are no data that says that the drug given -- that
18 these drugs given intermittently are safe or
19 effective. We've already said yes.

20 DR. THADANI: Or harmful. I mean we don't
21 have enough data to make any conclusions, right?

22 CHAIRPERSON PACKER: Right. Says "has not
23 been shown to be effective or safe during long term
24 use." That applied to continuous or intermittent oral
25 or intravenous.

1 DR. THADANI: Should we make another
2 statement the data on intermittent is totally
3 inadequate to address the issue?

4 PARTICIPANT: It says that.

5 DR. THADANI: I realize that, but you
6 know, you're emphasizing the oral.

7 CHAIRPERSON PACKER: Yeah, it says it in
8 the first question. We're actually going to deal with
9 that in question number ten.

10 DR. THADANI: Ten? Okay.

11 CHAIRPERSON PACKER: The question here is
12 do these tow conclusion apply to dig., nitroglycerine,
13 or nitroprusside, and let me for the sake of
14 simplicity ask if anyone thinks that either of these
15 two statements applies to any of these three drugs.

16 DR. KONSTAM: The first sentence applies
17 to nitroglycerine and nitroprusside, right?

18 CHAIRPERSON PACKER: That's correct.

19 DR. KONSTAM: The second doesn't.

20 CHAIRPERSON PACKER: Right. So that,
21 Marv, you would vote it does not apply to dig. The
22 first statement applies to nitroglycerine and
23 nitroprusside. The second statement applies to none
24 of the three.

25 DR. KONSTAM: Well, you know, it might be

1 worthwhile saying what we're talking about here. I
2 don't know why we're bringing in nitroglycerine and
3 nitroprusside at this point. We've been talking about
4 drugs that have inotropic effect. Well, we were
5 talking about cycle AMP dependent agents, right?

6 What are we trying to say here? You want
7 separate statements about nitroglycerine and
8 nitroprusside? Why are they even in there?

9 DR. LIPICKY: -- on IV inotropes --

10 DR. KONSTAM: Right.

11 DR. LIPICKY: -- we'll be asking the
12 labeling question in the next question, and these two
13 drugs are approved. So they might have to be
14 relabeled.

15 CHAIRPERSON PACKER: Yeah.

16 DR. LIPICKY: We just want to see if the
17 things you've been talking about in question nine are
18 applicable to those other guys or not. Everything
19 that went before is okay. We just want to dissect
20 that out. Okay?

21 DR. KONSTAM: Yeah. Well, then in that
22 spirit I understand.

23 Something -- that first part of the
24 statement certainly is applicable to nitroglycerine
25 and nitroprusside in that they have not been shown to

1 be effective or safe in the treatment of heart failure
2 during long term use whether given continuously or
3 intermittently.

4 CHAIRPERSON PACKER: Right, and the second
5 statement does not apply.

6 DR. KONSTAM: Yeah. I mean the second
7 statement should, right -- should -- you've again
8 stuck in the point about cyclic AMP dependent agents.

9 CHAIRPERSON PACKER: Right, right.

10 DR. KONSTAM: So it would not apply.

11 CHAIRPERSON PACKER: Okay. Does anyone
12 disagree with Marv's conclusions?

13 (No response.)

14 CHAIRPERSON PACKER: Okay. Question ten,
15 should some of the conclusions of today's discussion
16 be retrofitted into a labeling of intravenous
17 medications now approved for the treatment of
18 congestive heart failure?

19 And let me emphasize that the agency would
20 like us to remember that the facts are different in
21 each case and detailed wordsmithing is not
22 appropriate, and only the sentences that apply in each
23 example would be included.

24 For example, there is a statement about
25 Class IV, and if the data didn't indicate that, that

1 sentence would not be included, and so we would tailor
2 the wording to the appropriate -- in the appropriate
3 way based on the data available for each drug.

4 Given that as a qualification, the
5 proposed labeling change is as follows: Drug X is
6 indicated for the intravenous treatment of patients
7 who are hospitalized with acutely decompensated heart
8 failure. In general, Drug X should be added to
9 treatment with other drugs for heart failure,
10 including dig., diuretics, ACE inhibitors, and
11 carvedilol.

12 And so the first paragraph is a
13 clarification of the indication.

14 The second paragraph: experience with
15 intravenous Drug X in controlled clinical trials does
16 not extend beyond 48 hours of repeated boluses and/or
17 continuous infusions, and where applicable, this would
18 be included in a multi-center trial of oral Drug X.
19 Long term use was associated with an increased risk of
20 hospitalization and death, and where applicable
21 patients with Class IV symptoms appeared to be at
22 particular risk.

23 Similar trials of other drugs with similar
24 mechanisms of action have given similar results.
25 There is no evidence that long term intravenous

1 regimens of Drug X do not carry a similar risk.

2 DR. MASSIE: Milt.

3 CHAIRPERSON PACKER: Barry.

4 DR. MASSIE: Going back to question nine,
5 what you left out is the paragraph that there's no
6 evidence of efficacy during long term intravenous --

7 CHAIRPERSON PACKER: No, we included that.

8 DR. MASSIE: What?

9 CHAIRPERSON PACKER: We included that.

10 DR. MASSIE: It's not on the statement you
11 just read. No, I mean carrying forth the discussion
12 and vote of question nine, there's nothing there that
13 says that there's also no evidence of efficacy.

14 CHAIRPERSON PACKER: In question nine, the
15 first sentence says --

16 DR. MASSIE: No, no, no. I mean in this
17 relabeling. What I'm saying is that there ought to be
18 some statement like that first sentence in question
19 nine edit.

20 CHAIRPERSON PACKER: This is question ten.

21 Is it ten? I'm sorry.

22 DR. MASSIE: What I'm just saying is
23 included in these paragraphs --

24 CHAIRPERSON PACKER: Right.

25 DR. MASSIE: -- should be a statement like

1 the first sentence of question nine, which says that
2 there is no evidence of efficacy either. Efficacy has
3 not been shown of that approach.

4 CHAIRPERSON PACKER: Okay. Barry is
5 suggesting that the sentence "not shown to be
6 effective or safe in the treatment of chronic heart
7 failure during long term use when given continuously
8 or intermittently or orally or intravenously" should
9 be embodied somewhere in the first paragraph; is that
10 correct?

11 DR. FENICHEL: Isn't, Milton, isn't that
12 a minor corollary of the first sentence in the second
13 paragraph here? What we say is experience with
14 intravenous so-and-so "in controlled trials does not
15 extend beyond 48 hours," and so on. Well, a fortiori
16 it doesn't provide evidence of safety or efficacy or
17 nothing. I mean there it is. What could be a
18 stronger statement than that?

19 DR. LIPICKY: You could have had mortality
20 and symptom benefits in 48 hours. So that sentence
21 doesn't say you don't have any efficacy.

22 DR. FENICHEL: No, no, no. What I take
23 Barry's suggestion to be is that the thought from
24 question nine that certain drugs have not been shown
25 to be effective or safe, dah, dah, dah, during long

1 term use should be carried over.

2 Well, here we say there is no information
3 at all about long term use from controlled trials. So
4 of course they've not been shown to be safe and
5 effective.

6 DR. MASSIE: Well, I think it's better to
7 say than infer, first of all, but second of all, there
8 is a lot of articles about long term use, and they
9 aren't controlled trials, but a statement that this
10 committee does not feel that they constitute evidence
11 of efficacy, I think, is worth adding, I guess,
12 because, yes, you can infer that if there's nothing
13 about 48 -- exposure more than 48 hours, anybody would
14 obviously read that as saying there's no evidence of
15 efficacy.

16 I guess I would suggest being a little
17 more literal.

18 DR. THADANI: Milton, just on the first
19 part of the question, I think carvedilol is not
20 approved for Class IV failure. So --

21 CHAIRPERSON PACKER: But the only --

22 DR. THADANI: But I think you're talking
23 about decompensated failure in general.

24 CHAIRPERSON PACKER: Carvedilol has a
25 question mark specifically for that reason. It's only

1 there because it's an approved drug.

2 DR. THADANI: Should we just exclude it
3 and not be there at all?

4 CHAIRPERSON PACKER: Don't word smith.
5 The concept here is -- and, by the way, one can have
6 someone who is on carvedilol and then deteriorates to
7 Class IV.

8 DR. THADANI: That's a different issue.

9 CHAIRPERSON PACKER: Which is a different
10 issue. The agency will -- when this was first
11 written, the parentheses "and carvedilol" was not
12 included. It's included only -- it was added
13 subsequently for completeness sake. Ignore it if it--
14 one way or another.

15 DR. THADANI: The reason I even brought it
16 up, that could be a beta blocker if the guy is a post
17 infarct patient who is on a beta blocker. So I think
18 we should probably not mention that because somebody
19 might take this and start their patient on carvedilol
20 with no data.

21 CHAIRPERSON PACKER: Just take it out.

22 DR. THADANI: So I would suggest you take
23 it out.

24 CHAIRPERSON PACKER: Just take it out.

25 Okay. The present recommendations have

1 been made, and we want to hear any other
2 recommendations, aside from taking out the parentheses
3 at the end of the first paragraph; that Barry would
4 like to make the first sentence of the second
5 paragraph more explicit by saying something similar to
6 the question nine, which is the present evidence --
7 I'm sorry -- that the drug has not been shown to be
8 effective or safe in the treatment of heart failure
9 during long term use whether given continuously or
10 intermittently or whether given orally or
11 intravenously.

12 In other words, instead of or perhaps in
13 addition to --

14 DR. THADANI: Orally would be out because
15 you're talking about intravenous treatment.

16 DR. LIPICKY: Well, we can handle that.
17 We can sneak something in.

18 CHAIRPERSON PACKER: Okay. With the
19 understanding that the agency will sneak something in
20 about a lack of evidence after the first sentence of
21 the second paragraph, any other modifications of this
22 paragraph?

23 DR. DiMARCO: Why do you need the last
24 sentence? You have two negatives in the last
25 sentence. There's no evidence of benefit. There's no

1 evidence of risk. It's just sort of hammering it, you
2 know. I mean, how many times do you want to hammer
3 the same?

4 CHAIRPERSON PACKER: The reason is it's
5 actually supposed to be a clear statement that the
6 experience with IV therapy cannot be viewed as being
7 reassuring. That's the only way, John, that I know of
8 of making that statement.

9 DR. THADANI: But the fact you are putting
10 a second sentence, lack of evidence, do we need that?
11 I mean there is no data, there is no data, either
12 efficacy or risk. So I think we could even take the
13 last sentence out and just leave the addition after
14 the first sentence.

15 CHAIRPERSON PACKER: Yeah. We are really
16 running out of time for today's meeting. So the
17 agency will -- has really asked us not to do too much
18 wordsmithing on this, and they'll incorporate any
19 ideas that we have about this, but I guess the
20 question is where clarity is indicated, clarity will
21 be provided.

22 DR. LIPICKY: Yes, and so of the question
23 is: should we relabel things that are approved? And
24 that's a yes or no question. This is a kind of --
25 this is what the labeling would kind of look like, but

1 until you look at each individual drug and what is
2 known about each individual drug, you can't quite
3 write exactly what would need to be written. Everyone
4 would be different.

5 CHAIRPERSON PACKER: Please understand the
6 concept is not to wordsmith. The intent of the
7 question here is should the agency seek to relabel
8 existing drugs that fall into the category that we're
9 talking about in a manner which would be guided by,
10 although not precisely the same as, the wording in
11 this paragraph.

12 DR. LIPICKY: Right.

13 CHAIRPERSON PACKER: Okay. Basically a
14 yes or no answer. Barry?

15 DR. MASSIE: Yes.

16 DR. RODIN: Yes.

17 DR. PINA: Yes.

18 DR. THADANI: Yes.

19 DR. KONSTAM: Yes.

20 DR. LINDENFELD: Yes.

21 DR. CALIFF: Yes, and it's a great opening
22 to get the label changed again very quickly with a
23 fairly small clinical trial.

24 DR. DiMARCO: Yes.

25 DR. GRINES: Yes.

1 CHAIRPERSON PACKER: And yes.

2 So, Ray, it's 11 to zero -- I'm sorry --
3 ten to zero, one abstention. Lem abstained, and to
4 recommend to the agency that existing IV drugs in the
5 cyclic AMP category be relabeled as guided by the
6 paragraphs on question ten.

7 DR. LIPICKY: Do you really mean those
8 explicit words? You don't want to have nitroprusside
9 relabeled or --

10 CHAIRPERSON PACKER: I think that --

11 DR. LIPICKY: -- IV dig.?

12 CHAIRPERSON PACKER: -- since it's nearly
13 impossible to give nitroprusside long term, I think
14 that the only evidence that we -- I think that we have
15 no evidence about nitroprusside, but nor do we have
16 concerns about nitroprusside.

17 DR. KONSTAM: But, I mean, the answer to
18 Ray's question, I think, would be yes. I think it's
19 giving a practical answer which --

20 DR. LIPICKY: Yeah, I thought this was
21 truth in labeling, right?

22 DR. KONSTAM: Yeah.

23 DR. LIPICKY: You just want to let people
24 know what is known.

25 DR. KONSTAM: There would be no reason not

1 to include --

2 DR. THADANI: I don't think anybody uses
3 IV nitroprusside long term because it has such a
4 potent hemodynamic effect. You can wipe out the
5 pressure.

6 DR. KONSTAM: I agree.

7 DR. LIPICKY: But the labeling as it's
8 rewritten here says you don't know it works short term
9 either. You've got to pay a little attention to the
10 words as they're written, and you don't know that
11 giving it short term is not going to kill.

12 DR. THADANI: But if you give somebody IV
13 nitroprusside in pulmonary edema, you can improve the
14 patient very quickly. So, again, it depends on what
15 you're using for acute decompensation where it says
16 acute heart failure.

17 DR. LIPICKY: Well, that's fine. So then
18 am I to take it that the Committee's recommendation to
19 relabel is only in terms of the intermittent use and
20 is not in terms of anything else?

21 CHAIRPERSON PACKER: No. The --

22 DR. LIPICKY: Okay. Then why not?

23 CHAIRPERSON PACKER: The therapy -- the
24 Committee's recommendation is it's not -- it's not
25 specific. It could be continuous use. The operative

1 word here is long term.

2 DR. THADANI: Long term.

3 CHAIRPERSON PACKER: The operative word is
4 long term, and I think that it would be true from the
5 Committee's point of view that to the extent that the
6 questions in ten apply to nitroprusside, and many of
7 them would not --

8 DR. LIPICKY: Okay.

9 CHAIRPERSON PACKER: -- that the drug --
10 the labeling for nitroprusside could be clarified.

11 DR. LIPICKY: Right. Okay.

12 CHAIRPERSON PACKER: Would anyone disagree
13 with that?

14 (No response.)

15 CHAIRPERSON PACKER: A lot of what's on
16 ten doesn't apply to nitroprusside, but to the extent
17 that it does.

18 DR. LIPICKY: That's fine, but I mean, I
19 could have saved us looking into three drugs, you
20 know, to figure out what we wanted to do with three
21 drugs if you had said, "No, don't worry about those
22 three," but you say look at them and figure out
23 whether you want to do something. If it's --

24 CHAIRPERSON PACKER: It wouldn't be the
25 first thing you would do.

1 DR. LIPICKY: Right. I understand.

2 CHAIRPERSON PACKER: Okay. We are
3 adjourned until tomorrow morning.

4 (Whereupon, at 5:44 p.m., the hearing was
5 adjourned.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25